

1       NATIONAL TOXICOLOGY PROGRAM ( NTP )

2

3

4

5           PUBLIC MEETING ON TOXICOLOGY

6

7           IN THE 21ST CENTURY:

8

9           THE ROLE OF THE NATIONAL TOXICOLOGY

10

11           PROGRAM

12

13

14

15           January 29 , 2004

16

17           National Library of Medicine

18

19           9000 Rockville Pike

20

21           Bethesda , Maryland

22

23

24

25

<p style="text-align: right;">Page 2</p> <p>1       NATIONAL TOXICOLOGY PROGRAM (NTP) PUBLIC  2       MEETING ON  3       TOXICOLOGY IN THE 21ST CENTURY: THE ROLE OF  4       THE NATIONAL TOXICOLOGY PROGRAM  5                   January 29, 2004  6       DR. CARPENTER: Good morning.  7       I'm Hillary Carpenter with the Minnesota  8       Department of Health. I've been asked to  9       chair the meeting this morning, the National  10      Toxicology Program's meeting on toxicology in  11      the 21st century, the role of the National  12      Toxicology Program. Welcome. We're glad to  13      have you here. We're very interested in, in  14      hearing what you have to say and looking  15      forward to a lot of interaction between the  16      public and the panel that we've assembled  17      for today. A couple of housekeeping  18      reminders. We do have a, a transcript, a  19      record of attendance. If you haven't  20      registered, please do so. Also, because of  21      the fact that the meeting is being recorded  22      we would like for you to use your  23      microphones. Everybody should have a  24      microphone right in front of you. Push the  25      button and you get a nice little red light</p>	<p style="text-align: right;">Page 4</p> <p>1       University of Arizona where she is a  2       Professor of Pharmacology and Toxicology and  3       Steve Roberts, Dr. Steve Roberts of course  4       is out of place according to my guidelines  5       here. They put you... oh. My goodness.  6       Steve Roberts with the University of Florida  7       where he's a Professor in the Center for  8       Environmental and Human Toxicology. We also  9       have some, this is, this is the Board of  10      Scientific Counselors portion of this group.  11      We also have a representative from the  12      Interagency Work Group on Vision and that's  13      John Bucher who is sitting right there and  14      he's not gonna acknowledge that, thank you,  15      who is the Deputy Director of the  16      Environmental Toxicology Program at NIEHS,  17      and Michelle, there you are, Michelle Hooth  18      who is a staff scientist in Environmental  19      Toxicology at NIEHS. In addition, we have  20      NTP Core Agency representatives, Dr. Chris  21      Portier who is the Associate Director of NTP  22      and the Director of the Environmental  23      Toxicology Program at NIEHS. Mark Toraason,  24      who's ignoring me or otherwise... there you  25      go, thank you, who's the Science Director at</p>
<p style="text-align: right;">Page 3</p> <p>1       that comes on and that way everybody can,  2       everybody can hear what you're saying and  3       the transcript can accurately reflect what  4       you have said. At this time I'd like to  5       introduce the panel that's been assembled for  6       today. We have from the Board of Scientific  7       Counselors directly on my left Dr. Sam Cohen  8       from the University of Nebraska Medical  9       Center where he's the Chairman of the  10      Department of Pathology and Molecular  11      Biology, we have Diane Birt from Iowa State  12      University. She's the Chair of the  13      Department of Food Science and Human  14      Nutrition. To her left is, is Aaron Blair  15      who's the Chief of Occupational Epidemiology  16      with NCI. George, where's George? Oh, you  17      moved already. We're going to be doing some  18      shuffling here too because if you notice the  19      arrangement of these seats it's impossible to  20      see the slides from some of these seats so  21      we're going to be moving back and forth.  22      George Daston is from the Proctor &amp; Gamble  23      Company where he is a research fellow.  24      Charlene is where she's supposed to be,  25      thank you. Charlene McQueen is from the</p>	<p style="text-align: right;">Page 5</p> <p>1       the National Institute for Occupational  2       Safety and Health with CDC and also Dr.  3       William Allaben from the, who's Associate  4       Director and Science Coordinator at the  5       National Center for Toxicological Research at  6       the FDA. Did I miss anybody? What I would  7       like to do now which will help everybody put  8       names to faces and help with the transcript  9       is to go through the, through the audience  10      and ask you to please identify yourself and  11      your affiliation, if you would.  12      DR. THAYER: Kris Thayer,  13      NTP/NIEHS.  14      DR. SHANE: Barbara Shane,  15      NTP/NIEHS.  16      DR. MASTEN: Scott Masten,  17      NTP/NIEHS.  18      DR. TORAASON: Mark Toraason,  19      NIOSH.  20      DR. ALLABEN: Bill Allaben,  21      FDA.  22      DR. MENDRICK: Donna  23      Mendrick, Gene Logic.  24      DR. FISHER: Joan Fisher,  25      Proctor &amp; Gamble.</p>

<p>1 DR. FELTER: Susan Felter, 2 Proctor &amp; Gamble.</p> <p>3 DR. WOLFE: Mary Wolfe, 4 NTP/NIEHS.</p> <p>5 DR. SEIDLE: Troy Seidle, 6 PETA.</p> <p>7 DR. JAMESON: Bill Jameson, 8 NTP/NIEHS.</p> <p>9 DR. PHIBS: Pat Phibs, 10 Reporter, BNA.</p> <p>11 DR. WEDGE: Robbie Wedge, 12 National Academy of Sciences.</p> <p>13 DR. KI-HWA YANG: Ki-Hwa 14 Yang, National Institute of Toxicological 15 Research, Seoul, Korea.</p> <p>16 DR. WRIGHT: Robert Wright, 17 Training Lab, representing American College 18 of Medical Toxicology.</p> <p>19 DR. WIND: Marilyn Wind, 20 Consumer Product Safety Commission.</p> <p>21 DR. WILKINS: Steve Wilkins, 22 Costella Health Sciences.</p> <p>23 DR. SNYDER: Jack Snyder, 24 Medical Toxicologist, Associate Director, 25 National Library of Medicine.</p>	<p>Page 6</p> <p>1 year-long process into looking at the 2 direction and future of the National 3 Toxicology Program. Where is toxicology 4 going, and how is the NTP going to 5 contribute to that movement, potentially 6 leading in some areas? I want to thank the 7 members of the Board for being here. I want 8 to thank you all for, for coming out and 9 giving us your comment. We're a small 10 enough group this morning. I hope that we 11 can have a, a, an intimate discussion about 12 the future of toxicology and its role in 13 providing health protective public health 14 decisions. With that I'll simply move into 15 my presentation.</p> <p>16 This year marks the 25th anniversary 17 of the National Toxicology Program. In 25 18 years the NTP has contributed a substantial 19 body of knowledge...well, this has got 20 automatic changing, that's good. It will be 21 fun. ...a substantial body of knowledge in 22 the toxicology literature and a number of 23 different areas in terms of evaluating public 24 health risk for certain environmental and 25 pharmacological and food-based exposures.</p>
<p>1 DR. OKITA: Richard Okita, 2 National Institutes of General Medical 3 Sciences.</p> <p>4 DR. AMUNDSON: Sara Amundson 5 with the Doris Day Animal League.</p> <p>6 DR. PAXTON: Mary Paxton, 7 Institute of Medicine.</p> <p>8 DR. JAMES: Peter James, 9 Institute of Medicine.</p> <p>10 DR. HOLSSAPPLE: Mike 11 Holsapple, the Executive Director of the 12 Health and Environmental Sciences Institute.</p> <p>13 DR. CARPENTER: Thank you 14 all, and welcome. I would like at this time 15 to acknowledge public comments that were 16 submitted, written comments that were 17 submitted. We received comments from Dr. 18 Ki-Hwa Yang from the National Toxicology 19 Program in Korea and Richard Becker from the 20 American Chemistry Council. Right now I 21 guess we go to, to Dr. Portier for a welcome 22 from the NTP.</p> <p>23 DR. PORTIER: Thank you, Dr. 24 Carpenter. I want to thank you all for 25 being here today as we launch an almost</p>	<p>Page 7</p> <p>1 We've done a number... a lot of work in 2 developing various assays and providing 3 support for the development of those assays. 4 So the Program has a long history of 5 testing, research and evaluation of that 6 research for guiding public health decisions. 7 Our mission is in fact to evaluate agents of 8 public health concern by developing and 9 applying the tools of modern toxicology and 10 molecular biology, and Dr. Olden when he 11 started at NIEHS as the Director of the NTP 12 12 years ago, coined the, the term to sort 13 of capture the essence of the NTP's mission 14 and that is good science for good decisions 15 and we still hold to that truth. NTP is a 16 multi-agency Program. It's not just a 17 single agency that makes up the Program. 18 NIEHS is the home of the National Toxicology 19 Program. There we go. Boy, we've got this 20 worked out well. NIEHS is the home of the 21 National Toxicology Program but two other 22 agencies, the National Institute of 23 Occupational Safety and Health and the 24 National Center for Toxicological Research, 25 one with CDC, one with FDA, both contribute</p>

<p>1 resources, time, effort and energy to the      2 activities of the National Toxicology Program      3 and we're very pleased to have our major      4 partners here with us today to discuss the      5 future directions of this Program. In      6 addition, a number of agencies participate in      7 the NTP activities, either on our executive      8 committee or through some of the other      9 activities that we have and this is a list      10 of those agencies. Key among them are EPA,      11 OSHA, CPSC, NCEH at CDC and NCI and ATSDR.      12 All of those are on our executive committee      13 and do a considerable amount of effort on      14 behalf of the NTP.</p> <p>15 The NTP has a number of outside      16 guidance groups. I'm giving you a little      17 background because it will, it'll make it      18 clear as to how we move forward, forward      19 with developing a road map for the vision.      20 The NTP executive committee provides policy      21 oversight for the Program, it's composed of      22 the directors of ten federal agencies or      23 their designates and it provides a forum for      24 not only coordination of our research effort      25 but looking at the practical appl...,</p>	<p>Page 10</p> <p>1 is the ability to imagine how a country,      2 society, industry, in this case, a program      3 and a field of science could develop in the      4 future and to plan in a suitable way. So      5 at this point we're looking for that      6 planning process. We're trying to lay out a      7 road map for how we might achieve the vision      8 we've laid out for the NTP. I'll talk about      9 the goals strategies. Some of the questions      10 we're asking people to consider as they      11 think about changing, or looking for a      12 vision for the, for toxicology for the 21st      13 century and then some of the activities we      14 have planned.</p> <p>15 Why would we do this at this point?      16 Before I, I look at the vision, why would we      17 want to do this type of thing? I think      18 there are two things that are over-arching      19 and, and this is not new; these are issues      20 that we continually work with within the      21 National Toxicology Program. The first is      22 to promote the scientific advances that have      23 occurred in biomedical research in the last      24 few years for use in the field of      25 toxicology. Given these advances in basic</p>
<p>1 applicability of that effort and avoiding      2 duplication of effort while also      3 consolidating efforts to produce a bigger      4 research portfolio from the individual parts.      5 The NTP Board of Scientific Counselors which      6 is amply represented here, represented here      7 provides scientific oversight and a forum for      8 public input for the National Toxicology      9 Program. We have three standing      10 subcommittee, we have two standing      11 subcommittees for the National Toxicology      12 Program, the Report on Carcinogens      13 subcommittee and the Technical Reports Review      14 subcommittee, but now we have a subcommittee      15 on the NTP vision as well and Sam Cohen has      16 agreed to chair that subcommittee and the      17 people here are some of the members of that      18 subcommittee from the NTP Board of Scientific      19 Counselors. Let's see if I can stop it from      20 moving forward here.</p> <p>21 So, let's talk about creating a      22 vision for the National Toxicology Program      23 and where we have to go. First of all,      24 what is a vision? So to make sure we're      25 all talking about the same thing, a vision</p>	<p>Page 11</p> <p>1 science what is the role of toxicology and      2 what should that role look like? Are we      3 doing the right type of science at this      4 point or has, has science changed in such a      5 way that we really need to look very      6 carefully at what we're doing and consider      7 some additional or alternative or refined      8 methods of doing what we're doing? In      9 addition, this type of activity after 25      10 years of the National Toxicology Program will      11 help to improve our focus on the long-term      12 needs of the public health decision-making      13 community, the toxicological community and      14 the scientific community, all three of which      15 we are here to serve.</p> <p>16 Second major issue is to improve      17 public health decisions. We think the      18 National Toxicology Program through its      19 activities in the last 20 years has      20 certainly contributed substantially to public      21 health decisions in this country. But one      22 can't just rest on one laurel, one's laurels      23 forever and I think part of this is that we      24 want to look at how we can move the field      25 forward improving the translation of basic</p>

<p>1 research into public health decision-making      2 arena, improve the information management      3 tools that are necessary to capture the      4 information that might be needed, report it      5 and translate it in such a way that it can      6 be understood by the people who have to make      7 public health decisions; clinicians, heads of      8 regulatory agencies, people in their own      9 homes who have to decide what they are, want      10 to or don't want to be exposed to, taking      11 the, the real basic science and turning it      12 into something that's usable. In doing      13 that, in, in looking at that question, of      14 course at the same time to look at how we      15 can provide the data needed to guide these      16 public health decisions, this has been a      17 strong role for the Program and it will      18 continue to be a strong role, what type of      19 data do we need to provide and in what form      20 should it be provided? And finally,      21 overall, we would really like to see the      22 development of a very strong scientific      23 linkage from observations in molecular      24 biology clean through disease onset and      25 disease prognosis for environmental and other</p>	<p>Page 14</p> <p>1 that's been done in a number of cases for a      2 number of models. Part of this vision is to      3 look at that process and decide whether it's      4 time to start reversing it. To start      5 thinking about working at the level of the      6 mechanisms themselves and trying to predict      7 backwards what may or may not cause disease      8 given those types of mechanisms.      9 Given that that's a sort of a vision      10 we're looking at, what type of data do we      11 need, and where should we go to be able to      12 create that type of vision at this point?      13 Our strategy through looking at the road map      14 we'd like to create for the NTP vision is      15 achieving as much public input as we      16 possibly can, that's part of what this      17 meeting is. We'll have a number of other      18 public meetings along the way. Seeking      19 scientific input from our usual scientific      20 partners, the NIEHS committee that Dr. Hooth      21 is leading consists of members of the      22 National Toxicology Program, core scientific      23 staff, members of the Division of Intramural      24 Research at NIEHS, our basic science staff      25 and members of the Division of Extramural</p>
<p>1 di..., other disease causes that the NTP has      2 been focused on for a number of years.      3 So, a vision has to be stated      4 succinctly and so we've come up with this      5 wording for the vision for the NTP for the      6 21st Century and that is to move toxicology      7 from a predominantly observational science at      8 the level of disease-specific models to a      9 predominantly predictive science focused on a      10 broad inclusion of target-specific, mechanism      11 based biological observations. In 1995 the      12 NTP held a workshop to look at mechanism-      13 based toxicology and since that time we have      14 contributed, many of our, our members of our      15 Board of Scientific Counselors, many of you      16 in the audience and many of the      17 toxicologists that have worked around the      18 world have contributed to the area of      19 mechanism-based toxicology. You observe      20 something in a disease-specific animal model      21 and you spend time and effort trying to      22 understand the mechanisms involved in that      23 observation and try to take it apart as you      24 will and really understand what is the root      25 cause of the disease you're seeing. And</p>	<p>Page 15</p> <p>1 Research and training at NIEHS, the grant-      2 giving part of the Institute. All three of      3 those groups are working together to look at      4 how the NTP can function better within the,      5 within its home agency, the National      6 Institute of Environmental Health Sciences.      7 We have an executive committee, subcommittee      8 that John Bucher is chairing. This is,      9 there are representatives from all of the      10 major agencies that participate in the NTP.      11 Here we're looking for synthesis across the      12 agencies, understanding of, of what we'll      13 have to do and how we'll have to work with      14 the agencies to provide better scientific      15 understanding for, for guiding public health      16 decisions with this type of information.      17 And finally we're looking for the, to the      18 Board of Scientific Counselors Subcommittee      19 chaired by Sam Cohen, and here we're looking      20 for scientific guidance, what types of things      21 could we do that would contribute to the      22 overall direction of, of a more mechanism      23 based toxicology approach that's predictive      24 for environmental and other hazards. We're      25 bringing in a number of outside experts in a</p>

<p>1 variety of points in the process to give us      2 some advice. We have a, at, toward the end      3 of this early process of, of getting as much      4 idea into the Program as we possibly can      5 we're gonna form an NTP work group that's      6 going to formalize this into a road map for      7 us and some goals and measurements along the      8 way with that road map and we'll end with a,      9 we'll end with a retreat where we finalize      10 that road map and then hopefully sometime in      11 fall we, we hope to hold a meeting here in      12 Washington where we release that road map      13 for public comment and have a workshop to      14 discuss some of the implications of it.      15 We've asked all of the groups involved and      16 I'm giving you these questions as well, to      17 consider certain things as you look at where      18 toxicology might be going in the 21st      19 Century, and these are just the broad      20 questions, you can think of dozens of      21 smaller questions under each of these      22 categories, but first what information should      23 the NTP produce, what might this information,      24 how might this information be used in public      25 health decisions, what would be needed to</p>	<p>Page 18</p> <p>1 interest, and development of tools for      2 integrating the scientific data, these are      3 bio-informatics and database management-types      4 tools, that might help us integrate this      5 information into a better picture of the      6 potential for toxicity. In addition, tied      7 with this and having to run parallel is to      8 develop better and broader baseline      9 information. If I'm gonna look at a variety      10 of assays I want to be able to look at them      11 in a large number of compounds in a fairly      12 short period of time. So I'd like to see      13 some high throughput methods used, some      14 mechanistic clarity of the response so I      15 know actually what I'm looking at. Even      16 though it might have limited interpretation      17 on its own, I want to make sure that      18 interpretation is clear, clear before I start      19 trying to interpret it in, in the light of a      20 much broader issue like an entire animal      21 response, and I want to look at a broad      22 agent, array of agents and I want to use      23 these consistently if possible.</p> <p>24 Some other activities I think we need      25 to consider along the line, enhanced</p>
<p>1 gain acceptance of the new testing paradigm,      2 and by testing paradigm here it doesn't have      3 to be a single test, you can think of      4 multiple tests as forming a, a strategy for      5 testing. How can the NTP advance the      6 utility of these new methods and new testing      7 paradigms and finally, what new resources      8 will be needed and what re..., existing      9 resources will have to be reduced to look at      10 these issues and looking at some of the      11 processes we already have in place.</p> <p>12 Just so you get some idea of the      13 types of things that might be considered,      14 and these are my own ideas; these are not      15 things that have come to me yet from any of      16 these subcommittees, but I wanted you to      17 think about some of the things I'm looking      18 at. Rapidly, rapid development of better      19 models and faster screens, move from disease-      20 specific focus to the systems mechanism-based      21 focus, looking at issues that we historically      22 have only looked at piecemeal like exposure      23 timing, genetic controls on response, system-      24 wide evaluation of the data, looking at an      25 entire biological system as something of</p>	<p>Page 19</p> <p>1 development of multi-disciplinary...      2 disciplinary and multi-agency scientific      3 teams. Toxicology is no longer one person      4 in their lab doing one experiment with one      5 model. Clearly the NTP has been a leader in      6 that area and recognizes the need for multi-      7 disciplinary teams. We've used them for a      8 number of years very successfully and it's      9 important to the overall success of any      10 toxicology exercise to continue along those      11 lines. Determine how to cross-link disease      12 focus with mechanism focus. We've      13 fundamentally changed that linkage to basic      14 science enhanced both areas. And finally we      15 clearly are going to need to develop      16 training programs to meet the needs of both      17 the NTP, our partners, and a broader based      18 community that uses NTP information, so we      19 also have to look towards that as well.</p> <p>20 And I seem to have lost my picture.      21 So... that's okay. This is a quote from      22 John Sherr, "The future is not some place we      23 are going to, but one we are creating." And      24 at this point I think that's what we're      25 trying to look at. How do we create a path</p>

<p>1 such that we change both the maker and the 2 destination and hopefully for the betterment 3 of public health in the United States. 4 Thanks a lot.</p> <p>5 DR. CARPENTER: Thanks, Dr. 6 Portier. You want to take questions? Any, 7 anybody on the panel have any questions for 8 Dr. Portier? Anybody in the audience? You 9 were so clear. We'll now have brief 10 statements or reports from the work groups 11 for the NTP vision group and we start with 12 the NTP Board of Scientific Counselors chair 13 and that's Dr. Samuel Cohen.</p> <p>14 DR. COHEN: Thanks, Hillary. 15 On behalf of the Board of Scientific 16 Counselors we've formed this subcommittee to 17 assist in this process with the NTP and 18 we're very much looking forward to working 19 with Chris and his associates to be able to 20 make progress in this area. Thank you.</p> <p>21 DR. CARPENTER: And from the 22 NIEHS group Dr. Michelle Hooth.</p> <p>23 DR. HOOTH: Double click 24 on...that's okay, thanks. Good morning. 25 I'm Michelle Hooth, and I'm chair of the</p>	<p>Page 22</p> <p>1 laboratories in the Institute and this 2 includes two members from the Environmental 3 Diseases and Medicine Program and Dori 4 Gramalick and Nigel Walker also have 5 laboratories in the Institute. We have very 6 diverse backgrounds and responsibilities in 7 the Program and this allows us to consider 8 the full range of the NTP activities and 9 also to develop potential collaborations 10 within the Institute.</p> <p>11 The charge to the work group from 12 Dr. Portier was to develop a road map for 13 achieving the NTP vision and more 14 specifically to represent the NIEHS/NTP 15 staff, to consider all the NTP programs and 16 activities, and to provide recommendations in 17 a written document, and we hope to complete 18 this document in March. We started meeting 19 in October and we've been meeting on a 20 regular basis and the overarching goal that 21 we're focused on is to provide, through 22 original research or through the assembly and 23 analysis of research done outside the 24 Program, the scientific underpinnings upon 25 which decisions protective of public health</p>
<p>1 NIEHS work group for the NTP vision, and I'd 2 like to tell you about our progress over the 3 past few months. Did that. That's okay. 4 Wait a minute. Chris, nothing's working. 5 It's not responding.</p> <p>6 SPEAKER: Escape that menu 7 and go to the...</p> <p>8 DR. HOOTH: Okay. Sorry. 9 Yeah, oops. Okay, let's try again. So is it 10 the up arrow? It should be just the up.</p> <p>11 SPEAKER: Enter...no. There 12 you go. See it?</p> <p>13 DR. HOOTH: Okay.</p> <p>14 SPEAKER: Down there.</p> <p>15 DR. HOOTH: Thank you. We 16 have 11 members of our work group. Many of 17 us are members of the Environmental 18 Toxicology Program and so we're directly 19 involved in the day-to-day activities of the 20 NTP. We also have two members from the 21 Division of Extramural Research and Training 22 and, as Dr. Portier mentioned, this group 23 manages the Institute's grant program. We 24 have several principal investigators that 25 conduct basic research and manage</p>	<p>Page 23</p> <p>1 are made about risk from exposure to 2 environmental agents, and this is really very 3 consistent with the NTP mission.</p> <p>4 We started by brainstorming and then 5 organizing our recommendations in two goals, 6 and we realized fairly early on that our 7 goals were falling out into three basic 8 categories, and those are research goals or 9 scientific goals, process goals are ways of 10 achieving these goals and then communication 11 and translation, and I'd like to share with 12 you a few of our recommendations. For the 13 past few weeks we've been split into two 14 groups working on the research goals you see 15 here. The first to develop a scientific 16 rationale for the generation, analysis, and 17 integration of data from emerging 18 technologies into the characterization of 19 environmental health effects, and this group 20 has been focusing on optimizing our current 21 efforts but also looking at ways that new 22 methods and technology can be incorporated 23 into the Program to look at molecular 24 mechanisms and to screen and prioritize 25 chemical nominations. A second group has</p>

<p>1 been looking at identifying and quantifying      2 indicators of exposure, disease and      3 susceptibility from animal toxicity studies      4 that can be linked to clinical and      5 epidemiological investigations, and in this      6 group we've been looking at quantitative      7 relationships between exposure, tissue      8 dosimetry and trying to identify intermediate      9 molecular events in environmental diseases.      10 In the next few weeks we'll be focusing on      11 some of our other goals and just to give you      12 an idea of the process goals, we'll be      13 looking at ways to evaluate mechanisms for      14 hiring and training staff to facilitate the      15 transfer of new technologies to the NTP;      16 ways to increase the number and relevance of      17 agents nominated to the Program; and, given      18 the vast amount of data that can be      19 generated, ways to develop improved data      20 management methods. And then under the      21 communication and translation goals ways to      22 strengthen public outreach and communication      23 programs to help regulatory agencies and the      24 public understand the significance of the NTP      25 findings.</p>	<p>Page 26</p> <p>1 laid out, I must admit I don't quite know      2 what the research goals would be for the      3 Program now, but these seem what I might      4 anticipate. Are they different?      5 DR. HOOTH: No, I think some      6 of them are fairly consistent with the      7 Program, things that we're already doing.      8 But we're trying to look at ways to optimize      9 what we're doing. Could we be getting more      10 information or more analysis out of the      11 studies that we're doing? And also how can      12 we incorporate new methodologies and, as      13 Chris stated in his overview, ways to      14 provide rapid and thorough analysis, ways to      15 screen or prioritize compounds. So, yeah,      16 I, I think it does seem like these are      17 things that we're already doing but we're      18 trying to really focus on more of the      19 specifics.      20 DR. BLAIR: One more      21 question.      22 DR. HOOTH: Sure.      23 DR. BLAIR: In the process      24 goals, it, what you were talking, and I      25 think maybe this is the, the charge of your</p>
<p>1      2 The process that we've been using to      3 flush out these goals is the SMART process;      4 so for each of our goals we identify      5 specific aims and then we try to define      6 measures of accomplishments, so how will we      7 know that we've achieved our goals. And      8 then we've also challenged ourselves to look      9 at the ability or the feasibility to achieve      10 the specific aims, trying to identify what      11 the obstacles or challenges might be and at      12 all times we want to keep in mind the      13 relevance to the NTP mission and the public      14 health decisions. We're also trying to      15 provide realistic time lines for      16 implementations of our recommendations. We      17 appreciate the opportunity to be able to      18 provide recommendations and we look forward      19 to further debate and discussion of our      20 ideas. Thank you.      21 DR. CARPENTER: Does anybody      22 on the panel have any questions for Dr.      23 Hooth?      24 DR. BLAIR: Two questions      25 actually. One, the research goals you've</p>	<p>Page 27</p> <p>1 group to look internally but what it sort of      2 struck me as following Dr. Portier's vision      3 it actually means incorporating information      4 from the extramural side that feeds into NTP      5 and so there's sort of nothing about that in      6 your process goals and that's because you're      7 supposed to just look internally in the NTP?      8 DR. HOOTH: We're looking      9 within NIEHS but we are also considering, as      10 we mentioned before, DERT which is the      11 Division of Extramural Research and Training      12 and other groups within the Institute so      13 that... I think when you see our written      14 document we have also considered all of the      15 other sources of data that we'll be      16 inputting into the Program.      17 DR. CARPENTER: Dr. Birt?      18 DR. BIRT: Moving on to the      19 communication and translation goal, I'm, I'm      20 very glad to see that there, but it seems      21 like that's going to be a major effort with      22 NTP kind of changing its test structure.      23 You, you lump together the regulatory      24 agencies and public understanding. I'm just      25 wondering are you thinking those will diverge</p>

<p>1 at some point?</p> <p>2 DR. HOOTH: Certainly. Yeah,</p> <p>3 and in fact in one version of these slides</p> <p>4 we had them separated. We, we are...</p> <p>5 communication is so important for having</p> <p>6 everyone understand where the Program is</p> <p>7 moving and I think this is essential. The</p> <p>8 public needs to understand that we are a</p> <p>9 resource and that they can contact members</p> <p>10 of the NTP to provide them with answers</p> <p>11 about concerns about environmental agents and</p> <p>12 the regulatory agencies. There needs to be</p> <p>13 an open dialogue at all times so that we can</p> <p>14 work together and collaborate to provide the</p> <p>15 best data and interpretation of the data.</p> <p>16 DR. CARPENTER: I'd, I'd</p> <p>17 reinforce that, in terms of the education</p> <p>18 but I'd like to also emphasize the fact that</p> <p>19 you really are going to need to do a lot of</p> <p>20 basic education more than, more than</p> <p>21 interacting, you're gonna have to educate the</p> <p>22 public and probably a lot of the regulatory</p> <p>23 community in the important aspects of the</p> <p>24 proposals. It's, it's going to be crucial</p> <p>25 to get acceptance.</p>	<p>Page 30</p> <p>1 of these goals, and one thing that we're</p> <p>2 really looking at is, or one of the</p> <p>3 recommendations that we've made is to have</p> <p>4 ADME, Absorption, Distribution, Metabolism</p> <p>5 and Elimination for each compound under study</p> <p>6 so that we can have better information about</p> <p>7 the half-life and some of the other</p> <p>8 characteristics to help us interpret...</p> <p>9 interpret any of the other studies that we</p> <p>10 do and focusing a lot on modeling and trying</p> <p>11 to look at our studies and see whether we</p> <p>12 can identify intermediate events, earlier</p> <p>13 morphological or molecular events in the</p> <p>14 disease process that might be predictive of</p> <p>15 the endpoint. We really want to try and be</p> <p>16 able to link chemical exposure to what's</p> <p>17 seen in the tissue and then to find</p> <p>18 molecular mechanisms that might be predictive</p> <p>19 or informative of the endpoint. I don't</p> <p>20 know if that was specific enough, but. So</p> <p>21 just to follow up a little bit more, so</p> <p>22 we've asked ourselves, you know, do we need</p> <p>23 to be collecting other samples at interim</p> <p>24 time points, would that be useful</p> <p>25 information? I want to stress that we're</p>
<p>1 DR. HOOTH: I agree.</p> <p>2 DR. CARPENTER: Dr. Portier.</p> <p>3 DR. PORTIER: Yeah, I think</p> <p>4 that's where... that's gonna be one of the</p> <p>5 strongest components that the DERT, the</p> <p>6 extramural side of the Institute, can do for</p> <p>7 us. They already have a substantial</p> <p>8 training program in a number of different</p> <p>9 areas from kindergarten clean up through</p> <p>10 post-graduate education, and I think they</p> <p>11 would be very interested in potentially</p> <p>12 forming that type of training program as</p> <p>13 part of their extramural activities.</p> <p>14 Michelle, I was wondering if you could give</p> <p>15 one or two very specific examples of things</p> <p>16 you're considering under the first two points</p> <p>17 you've already done...</p> <p>18 DR. HOOTH: Sure.</p> <p>19 DR. PORTIER: ...so that the</p> <p>20 audience can get a feel for what type of</p> <p>21 modifications you're thinking about or what</p> <p>22 type of research you're, you're working on.</p> <p>23 DR. HOOTH: I can go back to</p> <p>24 that slide actually. I was involved with a</p> <p>25 smaller sub-group that worked on the second</p>	<p>Page 31</p> <p>1 really challenging ourselves to follow our</p> <p>2 recommendations through, so will the data be</p> <p>3 useful? How, how would you interpret this</p> <p>4 result? Okay, if we make this</p> <p>5 recommendation and we say something is a</p> <p>6 priority, what is the priority? What would</p> <p>7 we list as a high priority versus a low</p> <p>8 priority? So we're, we're trying to think</p> <p>9 all the way through so that it's not just,</p> <p>10 you know, we should be doing this, this and</p> <p>11 this and we're going to have all of this</p> <p>12 data, how is that data gonna be used? What</p> <p>13 will that data tell us, how can it be</p> <p>14 interpreted?</p> <p>15 DR. CARPENTER: Any questions</p> <p>16 from the public? Oh, Chris has got another</p> <p>17 question.</p> <p>18 DR. PORTIER: I just want to</p> <p>19 follow up on one thing Michelle did and in</p> <p>20 terms of the ADME work that you're going to</p> <p>21 be looking towards in terms of every single</p> <p>22 chemical, are you... you're also looking at</p> <p>23 non-animal based predictions of ADME as</p> <p>24 well...</p> <p>25 DR. HOOTH: Right, right.</p>

<p>1 DR. PORTIER: ...so that      2 there may be some high throughput activities      3 involved in being able to look at      4 absorption, distribution, metabolism,      5 elimination, right?</p> <p>6 DR. HOOFH: Absolutely.      7 DR. PORTIER: And you're      8 looking at those, great.</p> <p>9 DR. CARPENTER: Thanks very      10 much, Michelle.</p> <p>11 DR. HOOFH: Thank you.</p> <p>12 DR. CARPENTER: Now we move      13 to the interagency work group, or sub-work      14 group. Dr. John Bucher from NIEHS.</p> <p>15 DR. BUCHER: Yes. Thank you.      16 I'd like to tell you a little bit about      17 another arm of this effort at collecting      18 opinions and moving our vision forward      19 through the development of a road map, and      20 this is through the activities of the NTP      21 executive committee work group on, on the      22 NTP road map. We haven't made as much      23 progress as Michelle's group, but I wanted      24 to go over a little bit of what has happened      25 so far with this, with this activity. In</p>	<p>Page 34</p> <p>1 Longfellow and Michelle Bennett from NCI;      2 Amanda Edans from OSHA; Jack Snyder from      3 NLM; Bill Farland and Helen Zenick from EPA;      4 and Scott Masten and I are the NIEH      5 representatives to this group.</p> <p>6 The charge to this group, as was the      7 charge to the NIEHS group, to develop a road      8 map for achieving the NTP vision.      9 Specifically this group is to represent the      10 interests of the agencies which comprise the      11 NTP executive committee. We are also      12 charged to consider all of the NTP programs'      13 activities with specific reference to the      14 interagency interactions and how our various      15 agencies work together to promote and achieve      16 the goals of the NTP. We are also very      17 committed to assuring that any recommended      18 changes that we have serve the best      19 interests of public health and, of course,      20 we'll be providing these recommendations in a      21 written document. Just to give you some      22 idea, I think the discussions that we had      23 yesterday and on the teleconference back in      24 December were still at the stage of, of      25 getting ourselves oriented in to thinking</p>
<p>1 August of 2003 Dr. Portier presented the NTP      2 vision to the NTP executive committee, or      3 the agencies that he mentioned on the slide      4 that he showed that comprised the sort of      5 oversight, government oversight, for the NTP      6 activities. In November of 2003 Dr. Portier      7 requested that the participating NTP agencies      8 appoint work group participants and in      9 December we had an orientation teleconference      10 with those participants. Yesterday was the      11 first time that this group met face to face,      12 and so that gives you some idea of why I      13 can't tell you exactly as, as much as      14 Michelle has told you about the progress of      15 the NIEHS group effort. We are anticipating      16 collating all of the thoughts from the      17 agencies and the reactions and the ideas on      18 how we can move forward and compiling this      19 into a completed report, hopefully in April.      20 The work group participants, you can read      21 through these, they are Marilyn Wind, Michael      22 Babbage from CPSC, Bill Allaben and Paul      23 Howard from FDA, Chris de Rosa from ATSDR,      24 Tom Sinks, NCEH, John Howard and Mark      25 Toraason, NIOSH; Carl Barrett, David</p>	<p>Page 35</p> <p>1 about the, the depth of impacts that      2 changing the NTP, the way the NTP does      3 business, the kind of data that the NTP      4 generates, how, what kind of impacts that      5 will have in regulatory affairs, regulatory      6 activities. NTP has been around for 25      7 years and these agencies and, and, have,      8 have had a tremendous impact in, in, in      9 forming the programs that we, that we      10 currently have today and we want to make      11 sure that anything that changes within the      12 NTP is, changes in a way that the data that      13 are generated can be useful, remain useful      14 to regulatory and other agencies, health      15 research agencies and also continue to be      16 very protective in, in the maximum of any      17 public health decisions that could come out      18 of the research that we do. So with that,      19 I'm finished.</p> <p>20 DR. CARPENTER: Thanks, John.      21 Any questions for... George?</p> <p>22 DR. DASTON: John, when I,      23 when I think about this effort...let me move      24 back a second.</p> <p>25 DR. CARPENTER: Thank you for</p>

<p>1 remembering to use your microphone.      2 DR. DASTON: John, when I,      3 when I think about this, this effort and the      4 way that, that Chris and Michelle and now      5 you have described going about it, it, it      6 complements very nicely EPA's new cancer risk      7 assessment guideline approach to take a mode      8 of action, to base their assessments on mode      9 of action as much as possible and then      10 beyond that there's also been an EPA ILSI      11 sponsored workshop a couple of years ago on      12 how one can also incorporate non-cancer risk      13 assessment into the mode of action process.      14 And I'm just wondering how much you're using      15 the cancer risk assessment guidelines and      16 that harmonization report that was published      17 from that, from that workshop as guidance in      18 moving forward in this process because,      19 although I realize that NTP is not a      20 regulatory agency, the data that the, that      21 EPA and other regulatory agencies use comes      22 to a great degree from NTP. Can you comment      23 on, on how much you're using explicitly      24 those documents?</p> <p>25 DR. BUCHER: Well, I think</p>	<p>Page 38</p> <p>1 answer that question.      2 DR. DASTON: Okay.      3 DR. BUCHER: I'm not sure      4 about that.      5 SPEAKER: Several years.      6 DR. DASTON: Yeah. So, so      7 we don't want their time-line to interfere      8 with, with our work on the vision?      9 DR. BUCHER: It's not gonna      10 interfere with it but I think that... I mean      11 their, the initial stages certainly have      12 benefitted from close contact between their      13 activity and our activity. We've looked at      14 their statement of work, they've looked at      15 the, the guidance questions that, that we      16 provided for, for the, you know, implementing      17 this vision and I think that there's been a      18 lot of benefit gained from both groups by      19 collaborating.</p> <p>20 DR. CARPENTER: Yes.      21 SPEAKER: Since I'm the      22 Project Director for that NAS study I guess      23 maybe I can address the time-line. It is      24 ongoing now. We're putting the committee      25 together and within twelve months of the</p>
<p>1 those documents as we move forward will      2 certainly enter into this, these activities.      3 The, there is another activity that EPA has      4 ongoing now which is the creation of an NAS      5 committee to look at the way, and I don't      6 want to misrepresent in any way the charge      7 to that committee because I think it's still      8 being formulated, but there are a lot of      9 similarities in the goals of the EPA/NAS      10 activity with the vision that we have put      11 forth and I think that perhaps within the      12 various agencies there is, we're on the same      13 page with EPA perhaps as much or, or more so      14 than with the other agencies that form this      15 interagency group. So I, I think that the,      16 there will be a tight coordination between      17 the development of our process and, and the      18 re-invention if, if that happens through this      19 NAS activity.</p> <p>20 DR. CARPENTER: Any other      21 questions?</p> <p>22 DR. DASTON: I have just a      23 follow-up. Do we have any time-line for the      24 NAS activity?</p> <p>25 DR. BUCHER: I can't really</p>	<p>Page 39</p> <p>1 committee approval the second report, which      2 will be more of the road map, is due within      3 three years.</p> <p>4 DR. CARPENTER: Any other      5 comments? Questions? Thank you, John.      6 Make sure I get this. According to my      7 agenda here... We now move into the oral      8 comments portion which now we, now we're      9 gonna hear from the audience. The public      10 comments are going to present, be presented      11 at the podium. Please, again for the      12 benefit of the transcript that's being done,      13 I would ask each speaker when they come up      14 to the podium to identify themselves and      15 their affiliation for the record. If you      16 have written material that you'd like to see      17 distributed that you haven't already      18 submitted, you can do so at the registration      19 desk and, and the NTP staff, cracker jack      20 group that they are, will reproduce it and      21 see that it does get distributed to the, to      22 the entire group. The comments will be      23 presented in the order that they, that they      24 came in so first speaker will be Michael      25 Holsapple from the ILSI Health and</p>

<p>1 Environmental Sciences Institute.</p> <p>2 DR. HOLSAPPLE: I do have my</p> <p>3 written comments. Can you all hear me?</p> <p>4 Well, good morning. My name is Dr. Mike</p> <p>5 Holsapple. I'm the Executive Director of</p> <p>6 the ILSI Health and Environmental Sciences</p> <p>7 Institute here in Washington, DC. I want to</p> <p>8 begin by thanking you for this opportunity</p> <p>9 to provide our comments on the NTP vision</p> <p>10 for the 21st century. Many of you are very</p> <p>11 familiar with HESI's work on scientific</p> <p>12 issues and its collaborative work with</p> <p>13 government, academia, and industry. However,</p> <p>14 to place our comments in the proper</p> <p>15 perspective, a few brief remarks about our</p> <p>16 organization are warranted. Given our</p> <p>17 mission and diverse scientific programs, we</p> <p>18 believe that HESI is well positioned to</p> <p>19 provide feedback and recommendation to NTP</p> <p>20 regarding its vision. I should emphasize</p> <p>21 that my use of the terms "we" and "our" is</p> <p>22 deliberate and illustrates one of HESI's</p> <p>23 op... hallmark operating principles. We rely</p> <p>24 very heavily on multi-stakeholder input. In</p> <p>25 fact, our comments today are, were developed</p>	<p>Page 42</p> <p>1 vision to move toxicology from a</p> <p>2 predominantly observational science at the</p> <p>3 level of disease-specific models to a</p> <p>4 predominantly predictive science focused upon</p> <p>5 a broad inclusion of target-specific,</p> <p>6 mechanism-based biological observations. We</p> <p>7 encourage NTP to strengthen partnerships with</p> <p>8 external organizations to supplement its</p> <p>9 existing resources. These collaborations</p> <p>10 enrich the scientific knowledge base of all</p> <p>11 participants and help build consensus. In</p> <p>12 the past few years NTP and HESI have been</p> <p>13 successful partners by jointly sponsoring</p> <p>14 research, publishing scientific papers in</p> <p>15 peer-reviewed journals, and co-sponsoring</p> <p>16 technical workshops to examine and</p> <p>17 disseminate scientific data. Among the</p> <p>18 issues on which NTP and HESI have</p> <p>19 collaborated are the following: transgenic</p> <p>20 rodent models, genomics, immunotoxicology,</p> <p>21 DNA adducts, biomonitoring, biomarkers, dose-</p> <p>22 dependent transitions in mechanisms of</p> <p>23 toxicity, structure-activity relationships,</p> <p>24 and protein allergenicity. Virtually all of</p> <p>25 these areas of collaboration promote NTP's</p>
<p>1 by HESI staff with critical input from key</p> <p>2 industrial members and academic colleagues</p> <p>3 who are identified on the front page. I've</p> <p>4 taken the liberty of providing you with a</p> <p>5 copy of our 2003 Annual Report. The mission</p> <p>6 and strategic objectives of HESI are</p> <p>7 presented on page 4. I want to emphasize a</p> <p>8 number of key words from those objectives:</p> <p>9 partnerships, communication and transparency.</p> <p>10 These words are key because they form the</p> <p>11 cornerstones of our recommendations to the</p> <p>12 NTP as it moves forward to implement its</p> <p>13 2004 vision. Although our objectives have</p> <p>14 not changed, HESI will engage in its own</p> <p>15 science mapping session in April of 2004 in</p> <p>16 order to identify emerging scientific issues,</p> <p>17 to maximize our efforts to contribute to the</p> <p>18 resolution of scientific issues, and to</p> <p>19 ensure that we are focused on the right</p> <p>20 scientific issues. We are committed to this</p> <p>21 effort and hope to enlist the participation</p> <p>22 of key scientists from NTP and NIEHS as</p> <p>23 valued partners in this process. Regarding</p> <p>24 our purpose today, let me emphasize at the</p> <p>25 outset that HESI strongly supports NTP's</p>	<p>Page 43</p> <p>1 vision to move toward predictive science.</p> <p>2 Some of the HESI and NTP collaborations are</p> <p>3 worthy of specific mention. The HESI</p> <p>4 Alternatives to Carcinogenicity Testing or ACT</p> <p>5 Technical Committee organized an</p> <p>6 international workshop in February of 2003.</p> <p>7 This workshop was the culmination of an 8-</p> <p>8 year program in which 21 chemicals were</p> <p>9 tested in 3-6 model systems by 50</p> <p>10 laboratories worldwide. The Febru... The</p> <p>11 February workshop followed a workshop in 2000</p> <p>12 that was attended by over 350 scientists</p> <p>13 from the U.S., Europe and Japan and was co-</p> <p>14 sponsored by the NIEHS, the EPA, the Society</p> <p>15 of Toxicological Pathology and the SOT. The</p> <p>16 2003 HESI workshop was organized in</p> <p>17 cooperation with the NTP, included a lecture</p> <p>18 by Dr. Portier, and was followed the next</p> <p>19 day by a workshop organized by NTP. Taken</p> <p>20 together, the workshops by HESI and NTP</p> <p>21 clearly advanced our understanding of how</p> <p>22 transgenic animal models can and should be</p> <p>23 applied to carcinogenetic risk assessment.</p> <p>24 The HESI Genomics Technical Committee</p> <p>25 instituted an international, multi-sector</p>

<p>1 scientific collaboration in 35 laboratories      2 including government, industry and academia,      3 which included Dr. Ray Tennant, the Director      4 of the National Center for Toxicogenomics at      5 NIEHS. This effort culminated in a workshop      6 in June of 2003. The June workshop has      7 resulted in twelve papers describing the HESI      8 Committee's research. These papers will be      9 featured in 2004 editions of the journal EHP      10 Toxicogenomics. This research effort also      11 resulted in the co-development and population      12 of the first functional international      13 toxicogenomic database - ToxArrayExpress.</p> <p>14 The importance of the HESI/NTP      15 collaborations on transgenics and genomics is      16 captured on page 19 of our Annual Report in      17 the following comments by Dr. Tennant: Quote,      18 "The organizational, coordinating, and      19 logistical leadership provided by HESI in      20 both the ACT and Genomics Committees has      21 been outstanding. I believe these two      22 projects to be prototypes of the scientific      23 interactions needed in the development of new      24 research and testing initiatives. The      25 scientific community, particularly in the</p>	<p>Page 46</p> <p>1 demonstrable action, the NTP vision could be      2 dismissed as mere rhetoric. As has been      3 articulated in its Vision Statement for the      4 21st Century, NTP initiated a program in 1995      5 to use mechanism-based toxicology to develop,      6 evaluate and validate better toxicological      7 test methods. The 1995 NTP program      8 contributed to major changes in toxicology at      9 the national and international level, and      10 mechanism-based toxicology led to some      11 changes in the scientific basis for public      12 health decisions. However, the NTP      13 accurately states that mechanism-based      14 toxicology did not dramatically reduce the      15 need for the classical tests developed in      16 the 70's and 80's that were the basis for      17 many decisions related to product safety,      18 evaluation of environmental and occupational      19 hazards, and prioritizations of chemicals for      20 further testing. In another document from      21 the NTP, their Year 2000 Current Directions      22 and Evolving Strategies: Good Science for      23 Good Decisions, the NTP leadership emphasized      24 that its commitment to the concept of good      25 science for good decisions created an</p>
<p>1 broad realm of toxicology, needs the type of      2 organizational leadership available through      3 the aegis of HESI to deal with the      4 increasingly complex issues related to      5 assimil... assimilating new concepts and      6 methodologies. I do not know of another      7 forum in which open scientific exchange can      8 be oriented to achieving consensus among      9 highly disparate viewpoints and missions. It      10 is critical that basic, translational, and      11 regulatory scientists have a forum in which      12 all voices and viewpoints can be raised and      13 discussed and research formulated to resolve      14 critical issues. I've been very pleased to      15 participate on two such committees and view      16 their accomplishments as highly successful."</p> <p>17 There are other examples of previous      18 HESI/NTP collaborations, but in the interest      19 of time I believe I'll move on. As noted      20 above, HESI applauds the NTP for openly      21 communicating its new toxicology vision for      22 the 21st century. However, HESI encourages      23 NTP to recognize the enormous challenge that      24 they have identified and to take concrete      25 steps toward meeting this challenge. Without</p>	<p>Page 47</p> <p>1 atmosphere that allows the NTP to be      2 flexible and innovative in its approach      3 toward addressing public health concerns      4 related to chemical exposures at home and at      5 work and in our environment. Their 2000      6 document emphasized that NTP's commitment to      7 flexibility was manifested in its expanded      8 scope beyond cancer to include examining the      9 impact of chemicals on non-cancer toxicities      10 such as those affecting reproduction and      11 development, and the immune, respiratory and      12 nervous systems. These efforts by NTP have      13 had an impact, and this focus should be      14 expanded. Nevertheless, in 2000, the NTP      15 declared that, quote, "Nationally the NTP      16 rodent bioassay is recognized as the standard      17 for the identification of carcinogenic,      18 carcinogenic agents." Perhaps this statement      19 was valid in the year 2000. However, HESI      20 strongly encourages the NTP to revisit this      21 conclusion in the context of its 2004 vision      22 statement. We urge NTP to demonstrate      23 leadership in the area of mechanism-based      24 toxicology by communicating an expansion of      25 its program beyond observational testing into</p>

<p>1 the realm of mechanism-based approaches.      2 These approaches, some of which are used      3 routinely by the pharmaceutical industry, are      4 valuable predictive tools. HESI's multi-      5 sector membership, including the      6 pharmaceutical industry, presents a unique      7 opportunity to share, to share such innovative      8 tools and approaches. One way in which NTP      9 could move toward its vision is to explore      10 alternative testing methods which reach      11 beyond the current testing portfolio. For      12 example, a big step forward would be a      13 scientific shift in characterizing substances      14 for potential carcinogenicity. Simply put,      15 the NTP could move beyond the notion that      16 the NTP rodent bioassay is recognized as the      17 standard for the identification of      18 carcinogenic agents. As part of HESI's 2004      19 strate... Emerging Issues process, we are      20 considering a new project entitled      21 "Strategies for Improving the Hazard      22 Identification of Potential Carcinogens."      23 This strategy is predicated on the following      24 consensus statements about the current      25 situation: Genotoxins can be detected in</p>	<p>Page 50</p> <p>1 cause carcinogenicity, several requirements      2 need to be met: the short-term tests should      3 reliably detect genotoxic carcinogens; the      4 critical precursor events of non-genotoxic      5 carcinogens should be able to be detected in      6 sub-chronic tests that may require the      7 development of new endpoints for assessment;      8 the nature of the dose-response curve of      9 genotoxic carcinogens should be established      10 at human levels of exposure.</p> <p>11 HESI has been committed to the use      12 of mechanistic data as the basis for risk      13 assessments for some time. Clearly,      14 scientific discussion and consensus would be      15 needed if such a shift were undertaken by      16 the NTP approach to toxicology. Consistent      17 with our strategic objectives, HESI believes      18 that this discussion must occur in as      19 transparent a process as possible. HESI has      20 learned through our Technical Committee on      21 Agricultural Chemical Safety Assessment the      22 important, the importance of attempting to      23 conduct a paradigm shift in a transparent      24 manner. The mission of the ACSA Technical      25 Committee, which is a multi-sector,</p>
<p>1 short-term assays; in bioassay protocols,      2 compounds are tested in rodents at high      3 doses; the background incidence of many tumor      4 types is high in test organisms; many non-      5 genotoxic carcinogens act by a mechanism of      6 little or no relevance to human safety; the      7 relevance to risk assessments of tumors      8 produced at toxic doses of a chemical is      9 highly questionable.</p> <p>10 The new HESI program projects that      11 identification of potential carcinogens can      12 be improved by taking the following approach:      13 Identify genotoxic carcinogens by well-      14 characterized screens for genotoxicity      15 potential; identify non-genotoxic carcinogens      16 from their primary effects in sub-chronic 90-      17 day studies; depending on the results of      18 these preliminary tests, conduct additional      19 mechanistic-based tests to further identify      20 the specific mode of action; consider that a      21 margin-of-exposure approach for all      22 carcinogens be included to ensure that human      23 relevance is addressed.</p> <p>24 If the bioassay is to be replaced by      25 a science-based assessment of potential to</p>	<p>Page 51</p> <p>1 international group, is to provide a      2 mechanism for reaching consensus across      3 sectors (government, academia and industry)      4 on the development of scientifically credible      5 and viable methods for assessing the safety      6 of crop protection chemicals more      7 efficiently, with fewer animals and fewer      8 artifacts. In 2003 the ACSA project      9 completed a multi-year project to develop an      10 improved testing scheme for assessing the      11 safety of crop protection chemicals. Through      12 the work of three active task forces, a      13 proposal was developed with specific emphasis      14 on integrating metabolic and kinetic data      15 into the safety assessment process;      16 developing a hierarchy of study types,      17 endpoints, and triggers to cover vulnerable      18 life stages; developing a tiered testing      19 framework for endpoints such as      20 neurotoxicity, immunotoxicity,      21 carcinogenicity, and chronic toxicity; and      22 evaluating the range of relevant human      23 exposure situations in the context of the      24 experimental study design. The approach      25 approached by ACSA provides a sound</p>

<p>1 scientific basis for determining whether a  2 given agricultural chemical poses adverse  3 human risk in humans, taking into account  4 the chemical's toxicological properties and  5 use patterns.</p> <p>6 It has been HESI's experience that it  7 is just about impossible to prove a  8 negative. As such, those who espouse a  9 commitment to mechanism-based risk assessment  10 face a huge hurdle. It is usually very  11 difficult to provide sufficient weight of  12 evidence to persuade policy makers that the  13 quantity and quality of mechanistic data are  14 sufficient to allow the hazard data generated  15 in traditional classical guidelines and  16 prescribed regulatory studies to be  17 discounted. HESI believes that if NTP  18 proposes to be a leader in predictive  19 science, then it will need to evaluate more  20 challenging and perhaps more controversial  21 alternatives. If alternatives are meant to  22 be true refinements or replacements, they  23 should not simply be add-ons to existing  24 tests. To be perceived as truly committed  25 to its new vision of toxicology for the 21st</p>	<p>Page 54</p> <p>1 spirit is very much in support of what I  2 think we're trying to do here in terms of  3 the vision. In terms of, of, of some of  4 the details... You had described a  5 potential model for assessing chemicals that  6 comes from the pharmaceutical industry and  7 I'm wondering whether that really fits with  8 the larger audience that, that NTP's data  9 goes to, given that in the, in the  10 pharmaceutical industry there are a couple of  11 goals to pre-clinical testing. One is to  12 eliminate as many potential bad actors as  13 quickly as possible, you know, with the  14 understanding that there will be some babies  15 thrown out with the bath water, and the  16 second is to identify potential toxicities  17 that could then be evaluated in the clinic  18 and that's a different situation than many  19 other chemicals where there is no clinic and  20 there is no evaluation for the, the  21 compounds get approved. Is it, is it your  22 thinking that there would be, say a, a two-  23 stage process depending on what the ultimate  24 end use of the chemical is?</p> <p>25 DR. HOLSSAPLE: I, I, I</p>
<p>1 century, the NTP should commit to an  2 overhaul of its carcinogenicity program in a  3 manner consistent with the HESI ACSA program:  4 a multi-sector partnership (government,  5 industry, and, and academics); a commitment  6 to communicating progress; and a commitment  7 to transparency. HESI strongly endorses this  8 shift in vision, but it is vital to  9 emphasize that those who are involved in  10 interpreting the data and making the critical  11 judgments must be competent, evidence-driven  12 and capable of arriving at balanced  13 assessments of complex and sometimes  14 contradictory data. I thank you and I'll be  15 happy to entertain any questions.</p> <p>16 DR. CARPENTER: Thank you,  17 Dr. Holsapple, and, and thank you for almost  18 making the ten minute limit that I forgot to  19 announce before the first speaker. Speakers  20 are asked to present their comments in a  21 ten-minute time period and you didn't do too  22 badly. Do we have any questions for the  23 speaker?</p> <p>24 DR. DASTON: Mike, I  25 appreciate your comments and I, I think the</p>	<p>Page 55</p> <p>1 think you're right. I think NTP is, is  2 facing a pretty high hurdle already with the  3 number of chemicals that they actually have  4 to develop a tox profile for. I think our  5 reference to the pharmaceutical industry was  6 more along the lines of some of their use of  7 predictive tests, the genomics and the  8 transgenics, and the fact that I think  9 they've got those positioned in the right  10 way in terms of capitalizing on that  11 information to build the subsequent test. I  12 think the other thing that we can derive  13 from the pharmaceutical model is their  14 obvious commitment to pharmacokinetics, blood  15 levels as an estimate of dose, which is  16 something that can be extrapolated over. I  17 think probably a better model, if I was  18 looking at it from an NTP perspective, would  19 be more the ag chemical model because  20 they're struggling with the same issues. We  21 don't have the kind of ability to, to move  22 into humans to derive some of the safety,  23 just by putting the chem..., just by putting  24 the chemical into humans, but I think what  25 they've arrived at is trying to grab some of</p>

<p>1 the things that can be applied from a  2 pharmaceutical-type approach. The, the  3 tiered system, the, the movement away from  4 kind of a box checking sort of mentality and  5 allow the data that you have as you develop  6 it, kind of guide the subsequent tasks to,  7 to maximize your efficiency, to, to minimize  8 the number of animals that you actually have  9 to have, and I think they've also done a  10 good job of trying to introduce a commitment  11 toward pharmacokinetic metabolism-type studies  12 which right now, as we move through the  13 safety assessment for a crop protection  14 chemical, are way, way down the road. We've  15 got that really out of, out of sync. We  16 really gotta be developing some of those  17 kinetic blood level-type dose estimates early  18 in the assessment so that we can do a better  19 job of at least attempting to extrapolate  20 that back to human safety issues.</p> <p>21 DR. CARPENTER: John?</p> <p>22 DR. BUCHER: Mike, I think  23 the, the, some of the heart of your comments  24 have been consistent with some of the  25 difficulties that we've had in establishing</p>	<p>Page 58</p> <p>1 test method or a new procedure or whatever,  2 that's the million-dollar question as to  3 separate the positives from the negatives.  4 Do, do, do I, as a representative of HESI,  5 have the answer? I don't, I don't think so.  6 I think that what it requires though is  7 these kinds of multi-sectored partnerships  8 when we sit down at the table, and as much  9 as we can, try to separate that science  10 from, from the policy applications of it.  11 And I think if, if we try to blend those  12 too quickly too soon at the table, I think  13 we're gonna lose the chance to be able to  14 move the science forward. I think it's  15 gonna require this kind of consensus building  16 as to what the scientific rigor would be  17 associated with defining positives and  18 negative validation. Many of the things  19 that we already have underway. But I guess  20 I would, I would recommend that I think we  21 try to develop it at a scientific level and  22 then take it as a second step to try to get  23 it into the policy level, because I think to  24 try to do both at once is almost an  25 impossible quest.</p>
<p>1 adequate negatives. I think that's what  2 you, you were referring to in the last part  3 of your comments, and with respect to the  4 use of mechanistic information and, and  5 models that give you mechanistic information,  6 it's easier, it's always easier to generate  7 data that you can use in a predictive sense  8 to indicate that something is harmful or  9 that some adverse effect is, is occurring  10 but it's much more difficult to develop  11 models that give you the confidence to say  12 that a negative response in that model is a,  13 is a true negative in all and is a, and is  14 a health protective negative. So, are  15 there, and, and you've obviously given this  16 a lot of thought, are there things that you  17 could recommend that we would try to build  18 in from the very beginning that would give  19 as much weight to the positive findings as  20 validating, in essence, the negative  21 findings?</p> <p>22 DR. HOLSSAPPLE: I think  23 that's kind of the million-dollar question  24 associated with any movement toward either  25 attempting to, if it's a validation of a new</p>	<p>Page 59</p> <p>1 DR. CARPENTER: Aaron?</p> <p>2 DR. BLAIR: A couple of  3 questions to get your thoughts on. One was,  4 George raised it a bit about the  5 pharmaceutical industry. It seems to me  6 like there's a couple distinctions that are  7 quite different than NTP. One is that the  8 pharmaceutical industry is developing  9 chemicals for direct and immediate benefit to  10 individuals; it's personal. NTP's evaluating  11 largely things that are out there already  12 that benefit some people but not a lot of  13 others, but still have exposure. That's,  14 that's quite different, I think, in the way  15 they have to proceed and the way society  16 would, our citizens would want you to  17 proceed. And the other thing is to, I think  18 up to a large extent, that a pharmaceutical  19 industry to, in many cases, developing  20 something new. You know, I realize you pull  21 things from plants and so forth, but it's  22 not like it's already out there all over.  23 NTP largely is looking at chemicals that are  24 already strung around trying to decide if we  25 need to do something about them. And so I'd</p>

<p>1 just like to get your sense about... does      2 that change how you need to think about the      3 testing and so forth?</p> <p>4 DR. HOLSSAPPE: I think      5 that's both the legacy of NTP and perhaps      6 the opportunity. And, again, I, I think we      7 might be trying to make too much out of      8 trying to pound NTP into a pharmaceutical      9 model. It's clearly not. There are things,      10 there are messages, there are approaches,      11 that we can derive from a pharmaceutical-type      12 approach and those would be to do a better      13 job of the tier testing, to do a better      14 emphasis on estimating what the dosimetrics      15 are. And I guess I would contend that even      16 with a chemical that's been out there      17 forever, we could apply some of those      18 principles and we've been woefully lacking in      19 really trying to embrace that. And it is      20 gonna require a paradigm shift if we're      21 truly gonna move from the toxicology being      22 just an observational science to a predictive      23 one. It's gonna be an obser... we can, we      24 can wave our hands and talk about how we've      25 got, you know, such a tough mountain to</p>	<p>Page 62</p> <p>1 partnerships in the commitment to      2 communication and in the commitment to      3 transparency. I think they're in a good      4 position.</p> <p>5 DR. BLAIR: One more question      6 to get your sense, since you represent sort      7 of a broad based group and you get      8 information feeding in from a lot of      9 different sectors of our society, and so the      10 issue about the, the thing that sort of      11 swirls in my mind is when you go to a      12 mechanism approach and what NTP is trying to      13 do to provide information to make societal      14 decisions about different chemicals.      15 Essentially, I think what you're talking      16 about is all mechanisms for all outcomes.      17 That actually sounds pretty daunting. It's      18 real easy to identify a mechanism for one      19 outcome and you don't even know whether      20 that's all of them or not, and then sort of,      21 so I'd like to get your sense about how your      22 group thinks about this, and just overlaying      23 with that is 25 years ago there was some      24 move to this approach in carcinogenic testing      25 and it was called "Looking at Mutagenicity,"</p>
<p>1 climb, that we're never gonna get there but      2 I guess that's the beauty of trying to      3 formulate a vision. It really does... and a      4 road map, it really does provide us with,      5 with landmarks along the way that we can      6 measure our success or begin to realize that      7 we're, we're running astray from what we had      8 deemed as the success. That's what I hope      9 NTP will do with its road map. Not only      10 set a vision out there for five, ten years      11 or so down the road but have milestones      12 along the way that we can judge it. And I      13 think we can, we can learn from the      14 pharmaceutical approach. They are developing      15 new molecules. But I think the efficiency      16 with which they approach developing the      17 safety assessment is where I think we can      18 learn some things and apply them. And      19 they're all kind of embedded in what we've      20 been moving toward in terms of this      21 mechanism-based toxicology but some group is      22 gonna have to take a major leadership role.      23 I believe it can be NTP. I think that they      24 can probably achieve that, especially if      25 they're willing to engage in these kinds of</p>	<p>Page 63</p> <p>1 and it folded in and helped but it never      2 came close to replacing, because actually      3 what it did was generate a phenomenal number      4 of positives that you couldn't quite deal      5 with and so I worry a little bit about that      6 side also. Many mechanisms, many diseases,      7 I, I will bet the bank that we'll generate      8 so many more positives that we can't      9 possibly deal with and so what do we do when      10 we generate them?</p> <p>11 DR. HOLSSAPPE: I guess I'm,      12 I'm a little lost with the comment about      13 one, one mechanism, one, one path forward.      14 I, I think it's, it's more... If I've      15 implied that I think it's gonna be a simple      16 task, it, it certainly is not. But I, I      17 think... I don't know how you could set a      18 vision that says you're gonna move away from      19 observational science and, and, and get more      20 toward predictive without embracing a      21 commitment toward putting an identification      22 of the mode of action, or modes of action,      23 for a chemical at a, at a high, at the      24 center of what you're, what you're trying to      25 do with your, your testing approach,</p>

<p>1 portfolio or however you want to get from      2 point A to point B. If, if we're gonna      3 truly do that, then we just gotta kind of      4 bite the bullet and just start to move in      5 that direction. It's certainly not gonna be      6 simple and that's why I think I'm      7 encouraging NTP to recognize there are lots      8 of groups that are struggling with this out      9 there. Many of them we'll probably hear      10 from today, and that we should do as much as      11 we can to strengthen those kinds of      12 partnerships. We have to leverage that      13 information and that approach, that paradigm      14 shift, across not only science but a      15 societal paradigm shift, we all have to      16 contribute toward that, otherwise it's just      17 not gonna work.</p> <p>18 DR. CARPENTER: Go ahead.</p> <p>19 DR. SNYDER: Jack Snyder from      20 NLM. As I work within the NIH community and      21 I attend various sessions, I hear discussions      22 throughout the institutes about attempts to      23 define a workable number of cellular targets      24 and you also hear the same kind of      25 discussions in industry. And so my, my</p>	<p>Page 66</p> <p>1 actions would lend themselves toward being      2 applied in that sort of a framework. We      3 came up with the P450 kinds of inducers,      4 both the phenol barb and the AH kind of      5 inducers. We came up with a kind of      6 receptor mediated in a hormonal-type level.      7 We came up with the metal kind of the free      8 oxygen radical generating mechanism. We came      9 up with cytotoxicity. So we had those four      10 that we felt pretty comfortable with where      11 we could draw upon existing knowledge about      12 specific chemicals that we believe would fit      13 in to that mode of action. However, we      14 still had another category that we kept      15 having to kind of dump over here on the      16 side, you know, others... And, and I think      17 the way that this is gonna have to play out      18 is we just gotta get our arms around PPA      19 alpha, P450-type, the estrogen-type of cancer      20 models, the cytotoxicity, the metal overload      21 type of models, and if we could begin to      22 build a consensus around what it would take      23 to accept that we've achieved that mode of      24 action and know what we're gonna do with      25 that, once we've interpreted that, then at</p>
<p>1 question to you is, with HESI and the other      2 interactions that you have, have there been      3 discussions about trying to get a handle on      4 a finite or a workable number of cellular      5 targets? And begin to define the vision to      6 some extent in that way, were it to have      7 that kind of analysis contribute to the      8 vision of where toxicology is going. Would      9 you like to comment on that?</p> <p>10 DR. HOLSSAPPLE: Yeah, I'll      11 give you a real, hopefully a short example,      12 something that just recently happened within      13 the last couple of weeks. A group of us      14 got together to consider rodent liver tumors.      15 So it's strictly hepatocarcinogenicity.      16 We're not going for the adenocarcinomas or      17 anything like that, very limited kind of a      18 scope. Trying to build on that framework      19 that George made reference to where we were      20 talking about the PPAR alpha agonists as a      21 mode of action where we could develop a      22 framework to begin to know what to do with      23 the chemical once we had defined that PPAR      24 alpha mode of action. We sat down to try      25 to figure out what other kinds of mode of</p>	<p>Page 67</p> <p>1 least we've carved off a huge lay of the      2 land. Have we got everybody covered? No.      3 It just...I, I think that's getting at that      4 question that's not gonna be that simple.      5 But I think if we can begin to get our arms      6 around these modes of actions and reach a      7 consensus as to, once we have that data,      8 what are we gonna do with it in a public      9 policy kind of an application? At least      10 we've cut a lot of it away. We can      11 continue to fo..., focus our research efforts      12 on trying to develop additional modes of      13 actions. What do we do with that other bin,      14 so it's not, doesn't remain another bin?</p> <p>15 DR. SNYDER: I appreciate      16 that comment. Thanks. Because it's, it      17 jibes with what, the kinds of discussions      18 you see swirling around NIH which is silos      19 of targets and trying to define      20 intracellularly silos of targets because you      21 can't do everything with every target, but      22 it, what you just said to me, I captured      23 that as silos of targets.</p> <p>24 DR. HOLSSAPPLE: I think it      25 becomes kind of how we build and define a</p>

<p>1 mode of action, what, what it's gonna take 2 to be actually go into one of those silos. 3 DR. SNYDER: Thank you. 4 DR. HOLSSAPPLE: Knowing full 5 well that they probably, it won't be that 6 clean. As scientists, I think we get too 7 bogged down in wanting to classify everything 8 very cleanly and it rarely works that way. 9 DR. CARPENTER: Mark, go 10 ahead.</p> <p>11 DR. TORAASON: You mentioned 12 consensus a couple times. Would you comment 13 on how you might include validation in your 14 process and where you see it might be an 15 impediment to moving forward or...</p> <p>16 DR. HOLSSAPPLE: Validation is 17 frequently kind of one of those bad words 18 that I guess as a, as event scientists we 19 want to steer away from, from test methods 20 and whatnot. I don't, I think it's to try 21 to build a definition of consensus into an 22 understanding of what validation is almost 23 an oxymoron. I think consensus is more of a 24 reaching an understanding in, in a conceptual 25 sense and validation, I think, has got a lot</p>	<p>Page 70</p> <p>1 question. It's a comment. I want to thank 2 Mike for coming out and giving us quite a 3 substantial amount of material to look at 4 and think about and I wanted you to know 5 that we do appreciate it and I do have ideas 6 of how HESI could help. So, I'd be very 7 happy to talk with you at some point. Thank 8 you.</p> <p>9 DR. HOLSSAPPLE: Thank you. 10 DR. CARPENTER: Our next 11 speaker will be Dr. Ki-Hwa Yang from the 12 National Toxicology Program of Korea. 13 DR. YANG: Thank you, Dr. 14 Carpenter. Good morning, ladies and 15 gentlemen. My name is Ki-Hwa Yang from the 16 National Institute of Toxicological Research 17 in Seoul, Korea. And then I also head of 18 National Toxicological Research in Korea. 19 NTP in Korea is just three years old. We 20 started from 2002, so this year is just the 21 third year. So we have not established 22 fully, I mean, we just benchmarked the U.S. 23 NTP. However, the structure is not fully 24 developed. At the beginning of my 25 presentation, I really appreciate U.S. NTP</p>
<p>1 more rigor associated with it. I think that 2 what we've achieved through the ICCVAM 3 process, you know, which NIEHS and NTP have 4 been a very active participant in setting 5 that bar for what it takes to validate is, 6 is pretty much the way we ought to be 7 proceeding. I can tell you that some of the 8 feedback I get from many of my industrial 9 members is they, they want to shy away from 10 the V word, especially shy away from the 11 ICCVAM because it is such, such a rigorous 12 standard. I, I think we, we can afford to 13 have that kind of rigor to begin to accept 14 that a, that a method is validated. If we 15 can achieve that bar and then declare a 16 method is validated, I think we really have 17 done something that means it ought to be 18 integrated into, into both the science and 19 the public policy arena. I don't know if I 20 answered your question or not. That was a 21 tricky question.</p> <p>22 DR. CARPENTER: Thank you, 23 Dr. Holsapple. Oh, we have one more 24 question or comment. Chris?</p> <p>25 DR. PORTIER: It's not a</p>	<p>Page 71</p> <p>1 for inviting me to speak in the NTP Public 2 Meeting for its Vision. When I was 3 suggested to submit a comment, I was 4 hesitating what I would present and then I 5 decided to explain what KNTP is focusing 6 now. That is the medicinal herb problem. 7 I'm going to introduce the status regarding 8 medicinal herb in Korea. Many of you 9 figured out what I, what I'm going to talk 10 about in my written comment. In this 11 presentation I would just show you some 12 supplement. As I know, NTP also sponsored 13 the International Workshop to evaluate 14 research needs on the use and safety of 15 medicinal herbs held in 1998. After then, 16 toxicological studies for 15 items of herbs 17 and herbal, herbal complement have been 18 performing. I think this area should be 19 strengthened more by NTP because the Korea 20 import considerable amount of dietary 21 supplement from, from the U.S. Herbal 22 medicines literally growing in economic 23 importance. One market size would be about 24 43 billion dollars. The market size of 25 herbs in Korea is estimate, estimated, I, I</p>

<p>1 just...300 million U.S. dollars and then      2 imported sixty, 61,000 from foreign      3 countries. There are 550 items of herbs,      4 minerals and material from many more are      5 listed on the KP and then North Korea has      6 446 and in Japan and 117, China has 564 and      7 Taiwan has 364. This means so many herbs or      8 minerals are used for traditional medicine.      9 I would like to introduce the Korean      10 traditional medicine in brief. KTM was      11 ori..., originated from China but have been      12 developing independently since Dr. Jun Heo is      13 a very famous traditional, Korean traditional      14 medicinal doctor integrated it in two series      15 of books, Donguibogam, that were medical      16 encyclopedia in early 17th century. There      17 are three areas of pathology in these books:      18 internal medicine, surgery and miscellaneous.      19 The book was registered as the National      20 Treasures. He also described medicinal herb,      21 herb collection method, and examples of      22 ancient prescriptions. He also described use      23 of herb: decoction, pill, powder, extract      24 or soak. He...and also acupuncture,      25 moxibustion, exercise, et cetera. He</p>	<p>Page 74</p> <p>1 medicine. You can figure out the activity      2 in web site www.fhhm.net. The objective of      3 the forum is to promote public health by      4 recognizing and developing standards and      5 technical guidelines that aim to improve the      6 quality, safety and efficacy of herbal      7 medicine. The member countries, region of      8 FH...FFHH are China, Japan, Republic of      9 Korea, Singapore, Australia, Viet Nam and      10 Hong Kong. In this table I'm going to show      11 you what KNTP studied. KNTP performed      12 simple studies to figure out causes of toxic      13 hepatitis in Korea in 2003 from March to      14 October. During the eight month period, 55      15 patients were admitted to the hospital due      16 to toxic hepatitis. Most of them suffered      17 from using herbs, here, and then with this      18 simple study we estimated about 1,500      19 patients would be treated annually. There      20 is some difficulties handling herbal      21 poisonings such as documentation of the      22 health effect, the determination of a cause-      23 effect relationship, the identification of      24 the proprietary substances and active      25 ingredients, the characterization of the</p>
<p>1 organized by disease classification and each      2 illness and also described with related case      3 histories and prescriptions. In the end of      4 19th century, Dr. Je-Ma Lee, he also very      5 famous KTM doctor, established constitutional      6 medicine theories. In his theories he      7 classified human beings as four constitutions      8 and then he treated the patient differently      9 according to the type of constitution. Oh,      10 I'm sorry. Now I move...I'm moving to the      11 problem in using medicinal herbs as discussed      12 in 1994...6 International Workshop. There      13 are so many problems in using herbs such as      14 standardization, consumer education, herb/drug      15 and herb/herb interactions, potential      16 toxicity associated with high dose or      17 prolonged use and sensitive subpopulations.      18 In the case of standardization we have to      19 specify the next. First, species of plant      20 used, harvest schedule, storage methods,      21 physical characteristics of raw material,      22 methods for producing uniform extract,      23 knowing which part of plant contains the      24 desired bioactive component. Recently, WHO      25 organized a forum on harmonization of herbal</p>	<p>Page 75</p> <p>1 kinetic pattern and tox/path effect, the      2 uncertainty of the prognosis and treatment.      3 I'm going to skip this slide. There are      4 four types of risk factors of herbs. The      5 first is natural toxin. For example,      6 Chuanwu or Caowu which contains aconitine      7 could evoke neurological and cardiovascular      8 toxicity and the next is adulteration with      9 heavy metal and western medicine such as      10 steroids, NSAIDs, CNS stimulants, diuretics      11 and antibiotics. Thirdly, contamination in      12 botanical product such as pesticides, molds      13 and heavy metals. Current research areas of      14 KNTP, just like U.S. NTP because we just      15 benchmarked U.S. NTP, chemicals,      16 carcinogenesis, herbal medicines, mycotoxins      17 and toxicogenomics. We are just focusing      18 the herbal medicine part. KNTP performed      19 the five herbal tests for 90 days toxicity      20 studies in 2003, Pueraria Root, Glycyrrhizan      21 Liquorice Root, it's very difficult to      22 pronounce, Pinellia Tuber, Safflower Seed and      23 Aristolochiae Radix. I can just, just show      24 you some, the result of the study. This is      25 the preliminary data of a toxicity testing</p>

<p>1 of safflower seed, seed. We did not expect  2 the result. Safflower seeds which contain  3 large amount of conjugated linoleic acid and  4 glyceride, are known to have effect on  5 osteoporosis, bone fracture and cholesterol  6 metabolism in Korea. Through the study we  7 found that there are dose dependent decrease  8 of liver weight; however, other internal  9 organs were unremarkable. I think you  10 can... here you can see that, ahhh, liver  11 weight is decreased in dose dependent.  12 Microscope, microscopically there are no  13 significant pathological changes in the liver  14 other than somewhat dilated sinusoidal space,  15 compared with the control, just seems to be  16 a little bit dilated sinusoidal space,  17 sinusoidal space and here's the just control.  18 There are no definite abnormal findings  19 including critical and anatomical pathology  20 other than dose dependent-decrease of the  21 liver weight. So we should investigate the  22 mechanism of decrease of the liver weight.  23 On second case... you may know this case.  24 Nortier reported this summary in the New  25 England Journal of Medicine in 2000.</p>	<p>Page 78</p> <p>1 occasionally in the high dose case cancerous  2 lesion in the renal pelvis on the left in  3 the high dose group. You can see the normal  4 pelvis on the left and then in this slide  5 you can see the focal hyperplasia, moderate  6 dysplasia, and even the transitional cell  7 carcinoma we observed. So with this kind of  8 experiment the KNTP plans to establish the  9 standard toxicology test for, for medicinal  10 herb to make a list of medicinal herbs for  11 toxicology, toxicology study according to  12 reviewing literatures and nationwide  13 surveillance for herb poisoning to set up  14 the standard method for preparing the medical  15 herb material, medicinal herb material, to  16 set up a special condition for investigating  17 the toxicities, and to investigate the  18 mechanism of toxicities. Thank you very  19 much for your kind attention and I really  20 appreciate the U.S. NTP for inviting me to  21 present my comment. Thank you very much.  22 DR. CARPENTER: Any questions  23 for Dr. Yang?  24 DR. BIRT: Yes, Dr. Yang.  25 What approach are you going to use to decide</p>
<p>1 Urothelial carcinoma associated with the use  2 of the Chinese herb Aristolochia fangchi.  3 The course of the disease or instant, the  4 company used Stephania tetrandra as the  5 source material. However, Aristolochia  6 fangchi replaced it in sometime because both  7 plants look like very similar. 18 out of 39  8 patient had urothelial carcinoma and then the  9 patient also has, had the Chinese herb  10 nephropathy, a unique type of rapidly  11 progressive renal fibrosis. It has been  12 described in 100 young Belgian women who had  13 followed a slimming regimen containing some  14 Chinese herb. Aristolochic acid became of  15 toxicological interest after the discovery of  16 its nephrotoxic, mutagenic, and antifertility  17 effect. We performed a 90-day toxicity  18 study for aristolochic contorta which  19 contained aristolochic acid. This is a  20 clinical dose, usually used for patients.  21 Here we can see the definite failure of the  22 weight gain in dose dependent. So it seems  23 to be a very effective dietary regimen. And  24 then we found, we found pre-cancerous... here  25 we can see the hyperplasia and even</p>	<p>Page 79</p> <p>1 on the doses that you're going to use of  2 your herbs, or the doses of the toxic or  3 active constituents?  4 DR. YANG: We usually used,  5 I, you mean, I mean the, use the dose at,  6 at pro..., pro..., proving that it test and  7 use the clinical dose with constant rate to  8 increase the dose and then there is, if  9 there, there, there were no toxicity just we  10 used the two gram, two gram body weight.  11 DR. BIRT: Do you begin by  12 considering human exposure?  13 DR. YANG: I'm sorry?  14 DR. BIRT: Human exposure?  15 The dose that people are taking?  16 DR. YANG: No. Actually,  17 the, the, the items we choo..., we chose was  18 the rising consumption drugs and then some,  19 some herbs was known as I mean having  20 toxicity in the literature.  21 DR. CARPENTER: Seeing no  22 other questions, thank you, Dr. Yang. I  23 think at this time I'd like to take a break  24 and have about a ten minute break, come back  25 about 10 minutes to the hour, please.</p>

<p>1 (WHEREUPON, a break was taken.)</p> <p>2 DR. CARPENTER: Welcome back.</p> <p>3 Our next presenter is Dr. Richard Becker</p> <p>4 from the American Chemistry Council.</p> <p>5 DR. BECKER: Thank you.</p> <p>6 Again, it's a pleasure to be here today. I</p> <p>7 want to thank NTP for their vision in</p> <p>8 organizing this meeting and other meetings</p> <p>9 along this line. I, my, my comments</p> <p>10 today... you should have received the written</p> <p>11 comments that I submitted last week or, or</p> <p>12 so ago. And those, those provide much more</p> <p>13 detail than what I'll discuss today. I'm</p> <p>14 gonna take kind of a 30,000 foot level view</p> <p>15 and then maybe a 5,000 foot level view,</p> <p>16 recognizing that there's a lot in between</p> <p>17 there. And I think that the processes that</p> <p>18 Dr. Portier talked about in terms of getting</p> <p>19 from where NTP is today to, to where he'd</p> <p>20 like them to be next fall, are well</p> <p>21 positioned to, to make the transition, to,</p> <p>22 to articulate the vision at the 30,000 foot</p> <p>23 level and to take it down to the lower level</p> <p>24 as well. So, I, the one thing I didn't,</p> <p>25 did not want to, to leave the impression</p>	<p>Page 82</p> <p>1 the test methods that we utilize in the last</p> <p>2 40 or 50 years. And, and I'm, I'm trying</p> <p>3 to, to, as a toxicologist I think I ask</p> <p>4 myself why is that. And I think what, what</p> <p>5 it is is we've not engaged as effectively as</p> <p>6 we can with broader parts of our</p> <p>7 communities, including the regulatory areas,</p> <p>8 to think about understand..., how we can</p> <p>9 implement better mechanisms of, of toxicity</p> <p>10 into decision-making. And again, I, I'm</p> <p>11 pleased to see that, that NTP has planned</p> <p>12 for additional opportunities for public</p> <p>13 review, comment and, and discussions.</p> <p>14 Dialogue is always critical, and, and we've</p> <p>15 had some discussion already today about</p> <p>16 education and outreach and clearly these</p> <p>17 types of fora are, are, are critical for</p> <p>18 that. You, you can't just change, you have</p> <p>19 to plan for change. So partly what goes</p> <p>20 into this vision is the transitions that</p> <p>21 need to be made in planning for change and I</p> <p>22 think that needs to be developed with an</p> <p>23 opportunity for clear public involvement and</p> <p>24 discussions.</p> <p>25 NTP is very unique. It is an</p>
<p>1 with is that the comments that I present</p> <p>2 today are, are, are simply all of the views,</p> <p>3 or the entirety of the views of, of the</p> <p>4 American Chemistry Council, or myself in</p> <p>5 particular. Obviously, as, as the, the</p> <p>6 reports are developed from the subcommittees,</p> <p>7 as new information is brought forward and</p> <p>8 others, and as, as we have an opportunity</p> <p>9 for additional stakeholder input and</p> <p>10 interactions, we and others I'm sure will</p> <p>11 engage more on, on some of the details.</p> <p>12 But let's start with, with the...</p> <p>13 it's kind of overarching or the 30,000 foot</p> <p>14 level view. Clearly, it's both timely and</p> <p>15 important for EPA to focus, as they have</p> <p>16 indicated, on identifying new tools,</p> <p>17 techniques and capabilities utilized to bring</p> <p>18 those, those methods to bear on the</p> <p>19 important toxicological and public health</p> <p>20 issues that we're facing. I may make a</p> <p>21 little bit of an editorial comment. It is,</p> <p>22 it is amazing sometimes when we step back</p> <p>23 and look at where we're at in the field of</p> <p>24 toxicity testing and evaluation to realize</p> <p>25 how little progress we've actually made in</p>	<p>Page 83</p> <p>1 interagency program and as such it has the</p> <p>2 vision that, the effort that NTP is</p> <p>3 undertaking at the present time has great</p> <p>4 promise to really promote and enhance the</p> <p>5 scientific cooperation, harmonization and</p> <p>6 efficiencies across agencies in the federal</p> <p>7 government, particularly in the development</p> <p>8 and application of new tech..., tech...,</p> <p>9 technologies, new methods in toxicology and</p> <p>10 risk assessment. We encourage and support</p> <p>11 the focus on mechanistic approaches for</p> <p>12 hazard characterization and risk assessment.</p> <p>13 And indeed, we do support and think this is</p> <p>14 another opportunity for NTP to, to</p> <p>15 demonstrate its leadership to develop</p> <p>16 standardized and validate new, revised and</p> <p>17 refined methods that can have a potential</p> <p>18 to, to reduce or replace laboratory animals.</p> <p>19 So that's at, that's kind of at the</p> <p>20 30,000 foot level. Some specific</p> <p>21 recommendations I'd like to put into focus</p> <p>22 today are, are really two here. This, as</p> <p>23 NTP looks at new technologies, new methods</p> <p>24 and, and trying to figure out how they fit</p> <p>25 into the programs, how they become utilized,</p>

<p>1 how this, we've heard some discussion about      2 a paradigm shift occurs, to consider the      3 need for, for validation and where that      4 fits in with new test methods that they plan      5 to use. And that specifically with      6 genomics, I think genomics is a great      7 promise for all of us in this field. But      8 how could NTP, what, what additional work      9 could NTP do, plan to do today to help to      10 insure that, as it's developing, those      11 results become utilized, both within NTP      12 programs and more broadly across the other      13 agencies that are part of NTP.</p> <p>14 So let me just take the first one,      15 ah, validation. Validation of new, revised      16 and refined test methods is required under      17 the ICCVAM Authorization Act of 2000. I'm      18 not a lawyer so I can't go in to all the      19 details of what that Act entails but,      20 suffice it to say that NTP through its      21 Center for Evaluation of Alternative Test      22 Methods is well situated in position to      23 conduct such high quality and scientifically      24 rigorous validation studies as they're      25 needed. As these new methods move from,</p>	<p>Page 86</p> <p>1 the, the, the test method. Strengths,      2 limitations and uncertainties in the data      3 interpretation. When you know what a      4 positive clearly is a positive, when you      5 know what a negative is and what it means,      6 and when you have some equivocal results,      7 need to be established before these test      8 methods move into routine use. And then      9 clearly here's one that, that, that is a      10 challenge to all of us in looking at moving      11 new and revised methods from the laboratory      12 bench, research bench, into a routine testing      13 program. It's providing this, this keyword      14 sufficient data to permit the appropriate      15 comparison with the proposed substitute and I      16 think Mike already mentioned this issue about      17 really looking at how you could obtain data      18 that satisfies that question so you could      19 really substitute a test method rather than      20 adding on as an additional test method. And      21 it may not be just a method, it may be a      22 battery, as we've heard earlier.</p> <p>23 So that's kind of some thoughts on,      24 on... let me go back to, to validation. I      25 think one of the key take-away messages I'd</p>
<p>1 from the investigation bench to      2 standardization and then eventually on the,      3 on the verge of being perhaps pulled into a      4 formal testing program, there's a need to      5 make sure that the test methods are valid      6 for the purposes that they're intended. And      7 this validation, by necessity, needs to be a      8 priori not a posteri. So it needs to be      9 conduc... completed prior to incorporating      10 these assays into the routine testing      11 programs. Why is that? Because it      12 establishes the relevance and reliability of      13 those test methods, and validation itself is      14 a process whereby the information is made      15 available that's needed to interpret and      16 understand the significance of the results.</p> <p>17 Validation must address mechanistic      18 relevance of the method to the endpoint of      19 concern in humans, and here for example      20 carcinogenicity. But it could be any      21 endpoint. So you have to understand the      22 mechanistic relevance of that endpoint. I      23 spoke about reliability and reproducibility.      24 Clearly specifying the criteria for      25 appropriate use in the limits of the, of</p>	<p>Page 87</p> <p>1 like to, to leave here today, and it's in      2 the written comments but I didn't put it up      3 on the slide, is that the importance of      4 considering validation and the process of      5 validation as you're looking at development      6 of new methods. Now, now this becomes very      7 difficult in practice because you're looking      8 at something that's at the research bench      9 early and maybe later will get brought      10 forward into the routine testing program.      11 But I think NTP as they go forward with      12 thinking about the vision, needs to think      13 about some critical methods that they're,      14 they're, they're looking at. Genomics may      15 be one, there may be others as well, or high      16 throughput and think about what would be an      17 appropriate validation approach for these      18 methods and then to program in, if you      19 would, a discussion of that and      20 implementation of those validation steps      21 early in, early on in the process so that      22 when you're ready, or think you're ready to      23 implement that in a testing paradigm, that      24 information is available and there is      25 consensus that the method does what it says</p>

<p>1 it's supposed to do, that perhaps you can      2 indeed substitute this method for an alt...,      3 as an alternative method. But the point is      4 that this needs to be thought of early in      5 the process or, and not at the end of the      6 process, leave it at that. And I think      7 oftentimes we've, we've kind of tried to      8 tack validation on to methods development at      9 the end and then that creates problems.</p> <p>10 Genomics. Genomics, as I said, has      11 great promise, but there's still a lot to      12 do. A lot is underway and I don't want to      13 give the impression that, that folks haven't,      14 these are, you know, folks haven't thought      15 about some of these ideas and that these      16 aren't already being addressed in some way,      17 shape or form by various organizations. But      18 I think that, look at these, these areas of,      19 of additional research and think about is      20 NTP as a unique entity where it's situated      21 in the federal government, how it might be      22 able to truly move the ball forward that      23 benefits not only NIEHS but also the other      24 agencies that are participants in NTP and      25 the general public and the industry as well.</p>	<p>Page 90</p> <p>1 that there are no clear guidelines for, for      2 correlating qualitative or quantitative      3 changes with potential for adverse effects.      4 So, so additional work needs to be done to      5 understand the application of these methods      6 within the toxicology and risk assessment      7 framework. But, given at the speed at which      8 the methods are evolving, it's probably not      9 appropriate to recommend standardization or      10 validation or it may be not, probably not      11 even practical at this time because of the,      12 the evolution of the technologies. But      13 what, what we do suggest is NTP or others      14 engaged in this process consider developing      15 best practice guidelines for conducting and      16 reporting these assays. And for example, on      17 noting experimental conditions in the refer,      18 research plat, platforms, robustness of the      19 information. And then guidelines for      20 communication, audience-appropriate      21 communication for the assay results.</p> <p>22 So with that I'll, I'll end by just      23 saying in summary that it's appropriate for      24 EPA, or for NTP to be undertaking this, this      25 vision, discussion at the present time. We</p>
<p>1 So certainly looking at the framework of      2 genomics, looking at a framework for use of      3 genomics within, within the paradigm of risk      4 assessment is, is clearly needed.      5 Recognition that if you're gonna look at      6 genomics in the area of epidemiological      7 studies there needs to be an ability to      8 obtain and keep information on samples from      9 large and diverse populations. And of      10 course there are other issues related to      11 genomics that go beyond kind of the strictly      12 the science and having been made to think      13 about creating a stiu... or creating      14 appropriate fora or venues for discussion of      15 these as part of the scientific process of      16 methods development and application. So      17 focusing beyond the science is needed clearly      18 in genomics.</p> <p>19 One of the areas that just... I      20 think comes down to a specific recommendation      21 where NTP I think can help in the shorter      22 term rather than a longer term, is this      23 issue of looking at platforms and, and      24 establishing best practices. We're, we're      25 faced with a situation now with genomics</p>	<p>Page 91</p> <p>1 look forward to participating in future,      2 future meetings and we think that the      3 process as, as has been described will be      4 one for which all of us within the different      5 communities that we represent will benefit      6 from, from this effort in the long term.      7 Thank you.</p> <p>8 DR. CARPENTER: Thank you,      9 Dr. Becker. On his way back to his seat,      10 George is ready to ask a question. Go      11 ahead, George.</p> <p>12 DR. DASTON: Rick, thank you      13 for your comments. In terms of, of the      14 genomics and standardization, you know, there      15 are the Miami standards that have been      16 developed and there is a draft of Miami      17 standards for toxicogenomics. Is there any      18 effort that you're aware of that is going to      19 move beyond those standards to provide the      20 kinds of minimum reporting requirements that,      21 that, that you'd like to see?</p> <p>22 DR. BECKER: I guess, George,      23 I'm not aware of any and this is, what I'm,      24 what I'm suggesting is that there is a gap      25 there. Not only for reporting requirements</p>

<p>1 but think about the use of this information      2 across different agencies that comprise NTP      3 and others that might utilize the information      4 that's developed. So I think there is a      5 real opportunity here for NTP and the      6 agencies involved in NTP to take a      7 leadership role in fostering best practices      8 of use and communication of the results from      9 these new techniques and technologies. So,      10 I think it's an opportunity that, that      11 should be explored within the vision and, in      12 fact I'm sure it is, is being explored.</p> <p>13 DR. CARPENTER: Bill, did you      14 have a comment?</p> <p>15 DR. ALLABEN: I'd just like      16 to ask a question. Bill Allaben, FDA. You      17 focused a good deal on validation and      18 mentioned the ICCVAM process. I would like      19 to ask a question whether you believe the      20 current bioassay, as we know it, is a      21 validated process?</p> <p>22 DR. BECKER: Was that a      23 loaded question or not? I think that as we      24 go forward and look at... I'll answer it      25 this way. As we go forward and look at</p>	<p>Page 94</p> <p>1 within that, that framework. So I think      2 I've answered your question along that      3 regard. I'm not sure that we're ever going      4 to say does this particular model replace      5 the rodent bioassay for all things. But      6 provided that you can get more mechanistic      7 information and use the results of that      8 model, and it is validated, use the results      9 of that model for a specific purpose that      10 it's intended, I think you can use, use that      11 information.</p> <p>12 DR. ALLABEN: Could this be      13 more significant scientific agreement than a      14 validation process, then?</p> <p>15 DR. BECKER: Well...</p> <p>16 DR. ALLABEN: Because I see      17 if you, if you plug everything through the      18 ICCVAM mechanism you're gonna be ten years      19 or out before you really get wherever the      20 NTP wants to go.</p> <p>21 DR. BECKER: Yeah, I think      22 you have to look at the ICCVAM mechanism      23 with a viewpoint of principles in mind and      24 that, yes, there is a need for scientific      25 consensus and that's essentially what ICCVAM</p>
<p>1 developing alternatives and substitutes, you      2 have to benchmark against something, okay.      3 And we have years and years of available      4 information on that assay. So, in      5 particular, if you're asking the question can      6 we substitute a new or alternative assay for      7 this assay, then you really have to ask the      8 question what is the information that I hope      9 to gain from this new assay that, that is      10 correlated to, or relevant to, what I      11 understand about the old assay. So clearly      12 in the case of laboratory animal models for,      13 for carcinogenicity we have established      14 relevancy to humans. You know, virtually      15 every human carcinogen does produce cancer in      16 a model or another. Now that doesn't mean      17 that every chemical that produces cancer in,      18 in, whatever dose level, by whatever      19 mechanism in an animal has a carcinogenic      20 risk, poses a carcinogenic risk to humans.      21 But there is relevancy of that model. So      22 the real question here is to tease out, as      23 is being done with transgenics and others,      24 the specific question that you're asking of      25 that model and making sure it can perform</p>	<p>Page 95</p> <p>1 provides. There also is a need, critical      2 need for quantitative data in order to judge      3 the, the reliability, the reproducibility of      4 the model. In terms of a formal ICCVAM      5 process, I think what's necessary in some,      6 what will be necessary, is to be able to      7 approach this from a, both a pragmatic and a      8 scientific mind at the same time, to      9 recognize that flexibility will be needed in      10 order to satisfy the principles of, of, as,      11 as articulated by ICCVAM method for, for      12 validation. I'm not quite sure that you      13 will ever be able to articulate, or as you      14 point out, Bill, to, to obtain the, you      15 know, an N of , of 50 or 100 for some of      16 these in vivo types of assays in a realistic      17 time-frame. So you need to be creative.      18 But I think that's where one can be flexible      19 but still be true to the principles and, and      20 that's what I would hold, hold as an      21 important goal. On the same, you know, at      22 the same time though, we don't want to end      23 up with, and this is, and others will speak      24 on it, we don't want to end up with the      25 double standard of demanding a certain level</p>

<p>1 of compliance for lack of a better term in a      2 validation process for a substitute,      3 particularly non-animal studies when you have      4 a different level of compliance, if you      5 would, from a scientific basis other, for      6 animal studies. So that, that's an area      7 that, that requires some balancing. But I      8 think it can be done and, and, you know,      9 obviously the, the processes that are, I      10 guess I will make it commercial, the      11 processes are in place for, for these types      12 of dialogues to occur. The, the FACA      13 committee for, for the alternative methods is      14 one place, the interagency group, ICCVAM is      15 another. Where these, these opportunity for      16 dialogue to solve some of these problems. I      17 just think that more openness and recognition      18 that some degree of flexibility is absolutely      19 necessary, is a key.</p> <p>20 DR. CARPENTER: John.      21 DR. BUCHER: Yeah, I wanted      22 to follow up a little bit on the validation      23 issue. The vision as it's stated implies a      24 movement from a disease-based model to      25 mechanisms-based models and I was wondering,</p>	<p>Page 98</p> <p>1 in, and I think what you need to do is, in      2 an evaluative framework. Not separate from      3 but within that context of the evaluative      4 framework. So this is where I was talking      5 about, it's a little hard when you're taking      6 a, a bench research methodology and trying      7 to project ahead and think about how it      8 might fit in with the framework. But if you      9 can think about the framework and then say      10 this is a type of method that we need, then      11 you can start, or we have, and then you can      12 start asking the questions about, well, what      13 does validation mean in terms of use of that      14 information within the evaluative framework      15 and I think that's probably the best way to      16 go.</p> <p>17 DR. CARPENTER: But again, I      18 would also get a plug in. I think these      19 types of discussions will be very good to      20 engage the ICCVAM FACA. I'm sorry, I don't      21 get the term right. It's a, the, the other,      22 the Alternative Methods FACA on, on, on      23 these types of discussions. Rather than      24 simply trying to say, you know, we need 20      25 test articles and, you know, three different</p>
<p>1 to me that, that provides some inherent      2 difficulties in, in validation and the way      3 that you've been talking about it. Is there      4 a, is there any thought that you've given to      5 how one would use the principles of      6 validation in developing mechanism-based      7 models that could be used for informing      8 public health on a, on a different level      9 than a disease-by-disease basis?</p> <p>10 DR. BECKER: I think there,      11 there, there are ways to go about this and      12 one, one I, I guess what I would say is      13 that I don't have specific recommendation, to      14 be honest, I don't have specific      15 recommendations to make today. But I think      16 if you look at some of the, some of the      17 work that's been done with the genetically      18 altered mice, mouse models, the transgenics,      19 and think about what, what the questions      20 that are being asked of those models in      21 terms of what they're capable of predicting      22 in, in terms of response to, to exposure, I      23 think you can begin to use that information      24 to, to ask how could we use the ICCVAM      25 principles with such, these types of models</p>	<p>Page 99</p> <p>1 laboratories, and, you know, et cetera. I      2 think that's, those types of details would      3 be, are... need to be worked out for certain      4 methods but for other approaches you need a      5 more thoughtful process.</p> <p>6 DR. SNYDER: Regarding      7 validation. How much validation should be      8 done at taxpayer expense as opposed to      9 validation that should either be done in the      10 private sector voluntarily versus be      11 required? You have any thoughts about that      12 distribution of effort?</p> <p>13 DR. BECKER: I'll reserve      14 comment on that. I haven't really thought      15 about that but I think that it's probably a      16 good question to, to, to think about as, as      17 the vision moves forward. There are      18 certainly clearly indications and      19 opportunities for partnerships and we've seen      20 this earlier, my, my memory's come back.      21 We've seen this with other alternative      22 methods that have come forward for, for      23 development, standardization and validation.      24 So I think exploring opportunities for,      25 perhaps this is a bullet under this methods</p>

<p>1 validation effort, to explore opportunities      2 for partnership across sectors is a very      3 good placeholder for further discussion.      4 DR. CARPENTER: Go ahead.      5 DR. HOLSSAPPLE: Just a      6 comment about that. I think the, the      7 biggest success that ICCVAM has had, this is      8 Mike Holsapple from HESI, was the local      9 lymph node, which was really the first time      10 we really worked through that process, and,      11 and a lot of that data was really developed      12 by the private sector. A lot of the      13 industry labs who had an interest in trying      14 to make sure that that assay was accepted      15 for a variety of reasons, so a lot of that      16 work, in terms of what, what we as the      17 public had to support, I think there were      18 some government labs that contributed      19 something but the yeoman's share of the data      20 that went in to at least the local lymph      21 node ICCVAM approval process was generated in      22 the, in the industrial sector and the      23 academic sector.      24 DR. CARPENTER: Chris.      25 DR. PORTIER: I don't</p>	<p>Page 102</p> <p>1 as we look at this issue and it's clear that      2 we have to have a broad-based scientific      3 discussion about what's gonna constitute      4 regulatory acceptance of a testing method      5 that may include a suite. It's a difficult      6 issue.      7 DR. BECKER: Let me just      8 make, one, one last comment, if I can. I      9 think one, one of the areas that we have to      10 remember is, is for the purposes intended,      11 it's kind of where you get at with this      12 method, and, and one could well envision a      13 particular, for example, a through... high      14 throughput method being for priority setting      15 or screening purposes, which, which is a      16 different purpose, the outcome of which, you      17 know, you, you would use that information      18 for a different purpose than, you know,      19 what's another example, citing a regulatory      20 threshold. So I think that, that oftentimes      21 because the discussion is not focused on      22 what's the intended purpose, which gets to      23 this issue of framework, you know, you get      24 into a cart and horse situation of, or a      25 chicken and egg is probably a better way of</p>
<p>1 remember the exact date but Dr. Wolfe will      2 I'm sure, we have a SACATM meeting sometime      3 in March or April of which this is an agenda      4 item on that meeting to discuss exactly      5 those issues. I will point out a few things      6 because validation is a very difficult      7 concept in this regard. First, if you're      8 thinking about high throughput versus non-      9 high throughput, you've got a completely      10 different concept of what might constitute a      11 validation and I think thoughts you might      12 have in the future on that, as you think      13 about this, would be very useful to us. In      14 addition, in some cases we may be specifying      15 a target that's not necessarily linked to      16 toxicity but linked to a particular mechanism      17 and to what degree would you validate      18 something like that up front versus      19 validating its link to a particular target      20 at a later time. Are things that would      21 be... we will be presenting to SACATM as      22 things that we need them to think about in      23 terms of our overall validation process.      24 Some of these came up when we were looking      25 at transgenics; they again raise their head</p>	<p>Page 103</p> <p>1 saying it, which comes first. And, and so I      2 think it's important to articulate a      3 framework and think about the method, and      4 that method may work in one framework or may      5 work in different frameworks, and they may      6 have different requirements but I, I think      7 it's important to think about the method      8 within the framework of use. So I, I do      9 think that, and this is just a plug, it was      10 very helpful when, when you presented the      11 vision on the use of transgenics even though      12 it's undergone some modification, I think, it      13 was very helpful to see that because then      14 one could then picture how that information      15 output from the test methods would be      16 utilized and that framework discussion has to      17 go hand-in-hand with understanding what's      18 necessary for validation.      19 DR. CARPENTER: Mary.      20 DR. WOLFE: I'd like to      21 invite everyone to the SACATM meeting which      22 will be the 10th and 11th of March. A      23 Federal Register notice is in preparation and      24 it will be held in Bethesda, at the Hyatt      25 Hotel which is just one Metro stop down the</p>

<p>1 road.</p> <p>2 DR. CARPENTER: Any other</p> <p>3 questions or comments? Aaron?</p> <p>4 DR. BLAIR: Using mechanisms</p> <p>5 and mechanistic models in a predictive sense</p> <p>6 says to me it means we don't always need a,</p> <p>7 a bioassay and so my, my question is sort of</p> <p>8 how do you think about an issue where</p> <p>9 there's quite a lot of mechanistic</p> <p>10 information and no evidence whatsoever that</p> <p>11 this substance would cause a cancer in any</p> <p>12 organism? Would that be sufficient then to</p> <p>13 conclude that it's a carcinogen?</p> <p>14 DR. BECKER: I think not. I</p> <p>15 mean I think not. And this has to do with</p> <p>16 probably the state of our understanding</p> <p>17 collectively, scientific understanding of the</p> <p>18 carcinogenic process. Remember, we're, we're</p> <p>19 moving in, we're moving our knowledge base</p> <p>20 forward in terms of what we know about the</p> <p>21 overall process at the same time we're</p> <p>22 moving forward in our knowledge about the</p> <p>23 endpoints or the, the, the effects of</p> <p>24 specific chemicals along the chain of, of</p> <p>25 causality, if you would. And so I think</p>	<p>Page 106</p> <p>1 encourage NTP to move forward, we shouldn't</p> <p>2 hold back in our research, development and</p> <p>3 application of this information, but again</p> <p>4 I'll go back to this, within the framework.</p> <p>5 So you have to use that information wisely.</p> <p>6 One of the critical areas, and this is I,</p> <p>7 you asked, so I get to get on my soapbox a</p> <p>8 little bit, one of the critical areas that's</p> <p>9 important and as we develop new information</p> <p>10 on mechanism and in bringing this forward</p> <p>11 into, into decision making is to make sure</p> <p>12 that there's scientific understanding and, I</p> <p>13 won't use the term consensus, but very</p> <p>14 strong peer review and peer comments, if you</p> <p>15 would, on the quality and the significance</p> <p>16 of that information. And that's where,</p> <p>17 where one can then start building confidence</p> <p>18 as you make decisions on the science. And I</p> <p>19 think the example of the, the ILSI/HESI</p> <p>20 example of, skipped my mind, what was the</p> <p>21 receptor mediated, RPAR, or PPAR process is</p> <p>22 a good example of that. How you can begin</p> <p>23 to, how you can build consensus on mechanism</p> <p>24 and use of that information. But, but there</p> <p>25 you're going mechanism by mechanism. I, I</p>
<p>1 oftentimes we've been, and this gets to I</p> <p>2 think part of the discussion that Mike</p> <p>3 talked about, this whole issue of how do we,</p> <p>4 if we don't know everything about a</p> <p>5 particular mechanism then are we in the</p> <p>6 state of knowing nothing and therefore not</p> <p>7 being able to use that information? And I</p> <p>8 think not. But I think it does create a</p> <p>9 dynamic tension because we don't always know</p> <p>10 which are the, the full steps of</p> <p>11 mechanistic, you know, mechanistic pathway or</p> <p>12 even sometimes which are the critical steps;</p> <p>13 we just know which, what a few are. But</p> <p>14 that shouldn't inhibit us from using that</p> <p>15 information but we have to use it wisely.</p> <p>16 So I'm not sure you can say if I say</p> <p>17 mechanism A then therefore, with the state</p> <p>18 of knowledge today, I can predict outcome B</p> <p>19 in even an animal model or even in a human</p> <p>20 at this, this time, whether it's</p> <p>21 carcinogenicity or reproductive toxicity or</p> <p>22 any of these other areas that we're</p> <p>23 concerned about. On the same time though,</p> <p>24 you can say that we shouldn't be held back,</p> <p>25 and this is where I wanna really, truly</p>	<p>Page 107</p> <p>1 think you're, you're stuck with that for now</p> <p>2 because that's a reflection of our current</p> <p>3 collectively understanding.</p> <p>4 DR. BLAIR: Just to sort of</p> <p>5 follow-up on that. I appreciate your</p> <p>6 comments so... In, in, I'm not, realizing</p> <p>7 having mechanistic information provides a lot</p> <p>8 of useful information in a lot of ways but</p> <p>9 then it sounds like for sort of this one</p> <p>10 narrow thing of making a, a decision about,</p> <p>11 I think about cancer but I know other</p> <p>12 outcomes would be important, on</p> <p>13 carcinogenicity, the mechanistic information</p> <p>14 is not predictive, it's explanatory. If you</p> <p>15 can't predict and say, well, yes, all right,</p> <p>16 we don't know that liver cancer develops in</p> <p>17 anything, anywhere but we think the mechanism</p> <p>18 is, you know, whatever amount of information</p> <p>19 we don't need to see it. So, sort of your</p> <p>20 thinking is that it's not likely we would</p> <p>21 have that amount of confidence just in</p> <p>22 mechanistic information so it would explain</p> <p>23 what we know occurs in the whole organism</p> <p>24 but it wouldn't predict.</p> <p>25 DR. BECKER: I think, I</p>

<p>1 think to a certain extent that's a good      2 statement of where we're at today. I would      3 hope that with, we'll be able to go farther      4 with, particularly with implementation I      5 think of some of the vision, of some of the      6 elements of the vision that will be      7 developed here. I, I guess I, just to make      8 one last comment in closing here. I don't      9 want to leave the impression that, with      10 respect to this point about having to be      11 predictive. It, it gets to the issue of the      12 inability to do this kind of planning or      13 vision outside of the risk assessment or the      14 toxicology framework. And one of the areas      15 that I think we've, we've, we've moved away      16 from and that we have to get back to,      17 particularly with, with the, these elements      18 of mechanistic information, is understanding      19 the relevance of, of dose response. So      20 Mike's comments about trying to build in      21 better ADME data earlier in the process and      22 using that is, is critical. But also trying      23 to think about, in the design and      24 application of these new, new technologies      25 and new test methods, where does dose</p>	<p>Page 110</p> <p>1 helpful. Thank you. Definitely. Well, I      2 too would first like to thank...      3 DR. CARPENTER: Excuse me for      4 the record. Can we get you to repeat your      5 name and your affiliation?      6 DR. AMUNDSON: Certainly.      7 DR. CARPENTER: Thank you.      8 DR. AMUNDSON: Again Sara      9 Amundson with the Doris Day Animal League      10 and I've been working on these and related      11 issues for the past 15 years, so I've seen      12 rapid progress in some areas and, much as      13 Rick articulated, very real concern over the      14 lack of new method development to in fact      15 replace those that have been utilized over      16 the past 40 to 50 years. So I do have a      17 markedly different perspective. Again, thank      18 you to the National Toxicology Program for      19 actually having the foresight to hold this      20 sort of initial public meeting. I am      21 looking forward to subsequent public meetings      22 for an opportunity for perhaps more in depth      23 comments on the basis of the reports that      24 come forward from the sub-groups that have      25 provided their initial concerns and initial</p>
<p>1 response fit in? Oftentimes we in the      2 current hazard characterization process of      3 carcinogen identification, we're just looking      4 at a, you know, a dichotomy or, you know, an      5 on/off kind of thing. It's either      6 carcinogenic or it's not. I mean there      7 could be equivocal evidence I guess or weak      8 or limited, but it's really a signal or not      9 a signal. But that's not how chemicals work      10 and so what we should do in the vision is      11 move away from that and look at areas of      12 understanding and better including      13 considerations of dose response. That's kind      14 of an editorial comment. Thank you.      15 DR. CARPENTER: Thank you,      16 Dr. Becker. Our next speaker is Sara      17 Amundson from the Doris Day Animal League.      18 DR. PORTIER: While Sara      19 comes up, I was asked to explain what SACATM      20 is. It's the Scientific Advisory Committee      21 for Alternative Toxicological Methods. It      22 advises NIEHS and the NTP on the ICCVAM      23 process and our research into alternative tox      24 methods.      25 DR. AMUNDSON: That was</p>	<p>Page 111</p> <p>1 testaments today as to what will be taking      2 place with this process. The proportion,      3 the largest proportion of my comments today      4 will be policy in nature, but I do have a      5 few comments to make about process and that      6 is the only reason I'm here today is I am      7 on the ICCVAM list serve. If you take a      8 look at the Federal Register notice for this      9 particular meeting, you will note that there      10 is no search term within that Federal      11 Register notice that refers specifically to      12 animal protection organizations as      13 stakeholders as part of this process, nor      14 does it specifically refer to alternative or      15 non-animal test methods. Be that the case,      16 keep in mind with the way that our federal      17 government works and the way that      18 stakeholders obtain information, we simply go      19 to the GPO site, pump in our search terms,      20 Federal Register notices that have      21 applicability to those search terms pop up      22 and we know what public meetings we need to      23 be participating in. If I'm not considered      24 a stakeholder, I'm simply not going to know      25 that this particular forum is taking place</p>

<p style="text-align: right;">Page 114</p> <p>1 today and that subsequent forums will take      2 place. Folks, that's a dramatic oversight.      3 Granted, industry, the regulatory sector, the      4 research sector of the federal and state      5 governments and the environmental protection      6 advocates and a variety of other folks are      7 specifically mentioned in any of the      8 communicating materials, but animal      9 protection organizations were left out, so I      10 hope that you will correct that in the      11 future. In addition, I greatly appreciated      12 the subcommittee reports, and the general      13 sort of discussion has been very interesting      14 from my perspective in addition to the four      15 to five, four questions that NTP put forward      16 as really provocative markers for getting us      17 started thinking about this process for      18 creating a vision for the NTP over the next      19 8 to 10 years. I'm most appreciative of      20 that, but again, what is lacking is where is      21 the three-hours component to each of these      22 subgroups as a portion of a very real vision      23 for taking toxicology forward in the 21st      24 century. Be that the case, I hope that this      25 issue will be comprehensively addressed on</p>	<p style="text-align: right;">Page 116</p> <p>1 this means is heretofore you will find that      2 any one revised or alternative method must      3 meet the same criteria and, and generate the      4 same robust data that's necessary in order      5 for it to be truly incorporated into our      6 regulatory scheme. Be that the case, as      7 evidenced by the number of test methods from      8 bench to federal regulatory recommendations      9 that NTP takes genuine responsibility for, do      10 keep in mind that there's certainly tax      11 payers dollars that are going into validation      12 efforts and those of us who closely monitor      13 what's taking place with the federal budgets      14 will certainly be supportive of those efforts      15 to insure that, whether it's a public/private      16 partnership or the federal government takes      17 responsibility for insuring that test methods      18 are assessed as valid, also have the      19 resources available to them to perform those      20 validation studies. That's truly, truly      21 important from our perspective.</p> <p>22 I also greatly appreciate Chris's      23 comment with regard to high throughput      24 methods and building on that I wanna just      25 ask you folks to keep in mind with the</p>
<p style="text-align: right;">Page 115</p> <p>1 the basis of clearly NICEATM already exists      2 at NIEHS and certainly seems like it will be      3 providing great commentary on what is      4 transpiring with regard to the vision but my      5 contention is it needs to be a backbone of      6 this vision in moving forward.</p> <p>7 Now at the risk of severely      8 compromising the poor man's credibility, I      9 must say that I am in large agreement with      10 the vast majority of overarching goals and      11 specific comments that Rick shared with you      12 just previously. His points with regard to      13 validation are well taken, obviously,      14 particularly in our animal protection      15 community and to that end I wanna address a      16 couple of points that were raised. Please      17 keep in mind that public law 106-545 which      18 is the ICCVAM Authorization Act has set a      19 new bar for toxicology when it comes to      20 federal regulatory agencies and that is: a      21 test method before it is recommended or      22 required must be ascertained as valid, and      23 we've got internationally agreed upon      24 criteria for what constitutes a validated      25 test method. The bar's been set and what</p>	<p style="text-align: right;">Page 117</p> <p>1 marked change in philosophy regarding      2 toxicology and the move toward mechanistic      3 approaches, do not embrace this philosophy at      4 the detriment of existing correlative methods      5 that may provide for refinements or      6 replacements or reductions of animal test      7 methods. We simply can't jump to the next      8 level without utilizing some of those      9 correlative methods that may be simply as      10 predictive of what we're currently utilizing      11 and I would hate to see, hate to see them      12 obliterated on the basis of the thrust for      13 mechanistic toxicology. I thought one of      14 the very, very important points that was      15 stated here is that the National Toxicology      16 Program truly is a regulatory and research      17 agency-wide coordinated effort. Be that the      18 case, where is that same activity being      19 built upon with NICEATM with regard to      20 development and validation of non-animal or      21 alternative test methods? We need a better      22 home for that to take place. We've got the      23 assessment validation stage covered. What we      24 don't have covered is coordinated activity      25 within the federal government for insuring</p>

<p>1 that we have got a home for this activity      2 around alternative test methods. Further to      3 that point, I thought it was very      4 interesting in Chris's opening remarks too      5 that he mentioned the great need and the      6 function, frankly, that NTP can perform with      7 training programs. I would strongly,      8 strongly advise you not only to insure that      9 training programs on actual use of test      10 methods and also on reading data to ensure      11 that regulatory agencies are actually      12 accepting them in an appropriate fashion      13 transpire at the federal level but also at      14 the state level. Keep in mind whether it's      15 Cal EPA or a variety of other states that      16 have very, very strong regulatory programs in      17 this particular area when it comes to      18 chemicals that those folks need some      19 integrated training to ensure that they are      20 with the federal government reading data      21 correctly. So, I strongly would support      22 that.</p> <p>23 In addition, I have a functional      24 question and that is who funds the NTP? If      25 you've got buy-in from all of those</p>	<p>Page 118</p> <p>1 components of the vision that you're going      2 to put forward at the end of this year.      3 That said, I would greatly appreciate a      4 response to that question and then outside      5 of that I appreciate the time for comments      6 and I'm happy to entertain any questions      7 too.</p> <p>8 DR. CARPENTER: Thank you.      9 Would you like to respond?</p> <p>10 DR. PORTIER: I guess I'll      11 respond. By law the, the technical support      12 of the NTP has to come from three agencies.      13 NIH, NIEHS, CDC, AP... CDC..., NIOSH      14 and FDA and CTR. The largest mass of that,      15 of course, is coming from NIEHS. But      16 whether it's our personal responsibility or      17 not, I don't know if that's the case.</p> <p>18 DR. CARPENTER: Bill.</p> <p>19 DR. ALLABEN: Bill Allaben,      20 FDA. I noted your, your concern regarding      21 how the information is disseminated and that      22 people who are in the loop and review the      23 Federal Register, et cetera, are aware of      24 these types of meetings. And you had asked      25 for correction to increase the, the base</p>
<p>1 regulatory or research agencies on one level,      2 that's fantastic and clearly you've got      3 extremely strong buy-in from FDA and NIOSH      4 but is it NIEHS's primary responsibility to      5 fund the NTP? Can someone answer that      6 question? Chris? Can you answer that      7 question?</p> <p>8 DR. CARPENTER: Chris, would      9 you like to answer that question or do you      10 want her to finish? We'll hold the question      11 'til you're finished.</p> <p>12 DR. AMUNDSON: Well, I      13 greatly appreciate it, but that feeds in to      14 a larger discussion and that is I do want      15 the people in this room to keep in mind the      16 fact that over the past two administrations      17 NIH's budget has doubled. The fact is      18 NIEHS's portion of that budget is minuscule.      19 So if we're gonna have this broader dialogue      20 for a vision for the next 8 to 10 years of      21 what transpires with the National Toxicology      22 Program, you're absolutely right. Question 4      23 has got to be answered, and that is where      24 are your resources going to come from to      25 insure that you can adequately address the</p>	<p>Page 119</p> <p>1 that this kind of information is disseminated      2 to. How would you go about doing that?      3 What would your recommendations be to enhance      4 that process?</p> <p>5 DR. AMUNDSON: Okay. I      6 think it's very simple. I appreciate you      7 raising the point. One of the changes that      8 could be made is, in the existing Federal      9 Register notice for this meeting in parens      10 specific stakeholders are mentioned, meaning      11 groups are mentioned. Whether it's industry,      12 federal regulatory agencies or environmental      13 organizations, animal protection organizations      14 should certainly be included. Obviously on      15 the basis of when it comes to the field of      16 toxicology the NTP utilizes more animals      17 probably than any other federal regulatory or      18 research agency. We certainly have a strong      19 interest in what transpires. In addition to      20 that, that same Federal Register notice, I      21 hope as the, as the issues become further      22 addressed in this chronological series of      23 events to get to the point in the fall where      24 there is the vision that's released, that      25 there will be a stronger, shall we say a</p>

<p>1 stronger editorial component with regard to 2 the three R's and alternative or non-animal 3 test method development as a portion of the 4 overall vision. And that would certainly 5 help.</p> <p>6 DR. CARPENTER: Any other 7 questions or comments? Thank you very much, 8 Dr. Amundson. Our next scheduled speaker is 9 Dr. Robert Wright from Children's Hospital in 10 Boston.</p> <p>11 DR. WRIGHT: Thank you. I 12 am Dr. Robert Wright. I'm a physician, 13 actually a pediatrician. I work at 14 Children's Hospital, Boston. I'm also an 15 Assistant Professor of Environmental Health 16 at Harvard School of Public Health and I'm 17 actually here as a member of the American 18 College of Medical Toxicology. I was asked 19 by the college to come here to sort of 20 introduce the college to NTP. So most of my 21 talk is gonna focus on what the college is, 22 and I'm going to withhold any scientific 23 comments that I might have because I'm not 24 supposed to represent, I'm only supposed to 25 represent the college.</p>	<p>Page 122</p> <p>1 toxicology, so that's far less than 1 2 percent. There are 300 members of ACMT who 3 are physicians. All of them are board 4 certified in medical toxicology. And 5 currently there's about 40 medical toxicology 6 trainees. It's a two-year fellowship, so 7 approximately 20 per year graduate, which 8 makes us a pretty stable number because 9 that's probably close to the number that 10 retire. Our members' interests are very 11 diverse. Some are independent-funded 12 researchers. I'm an environmental 13 epidemiologist as I said and I study 14 pediatric and environmental health. What I 15 do is actually very different than what a 16 lot of other members do. Others are 17 primarily clinic..., clinicians. Most care 18 for patients actually. Probably the majority 19 mainly care for physic..., or care for 20 patients and are emergency physicians. We 21 care for patients across the life-span. 22 Some are pediatricians like myself, but I 23 also when I take call for the poison center 24 in Boston, I sometimes get calls about 25 elderly individuals. So I also manage their</p>
<p>1 The American College of Medical 2 Toxicology is a professional, non-profit 3 association of physicians with recognized 4 expertise in medical toxicology. So we're a 5 different type of toxicologist than a basic 6 science toxicologist; we're all physicians. 7 Medical toxicology is a subspecialty which 8 encompasses clinical pharmacology. All of 9 our fellowships actually include pharmacology 10 training and we focus on the diagnosis, 11 management and prevention of poisoning and 12 adverse health effects due to medications, 13 occupational and environmental toxicants and 14 biological agents. This slide actually 15 doesn't include my field which is pediatrics; 16 however, there is what, what it's meant to 17 represent is there's overlap between 18 occupational medicine tox..., in toxicology 19 in clinical effects of solvents, pesticides, 20 and heavy metals and other toxicants. 21 To give an overview of how 22 subspecialized we are, approximately 700,000 23 physicians are currently practicing in the 24 United States. Less than 400 of them have 25 ever been board certified in medical</p>	<p>Page 123</p> <p>1 care. And that's true for all medical 2 toxicologists and we deal with both acute 3 and chronic exposures. I work in the 4 pediatric environmental health clinic so I 5 see a lot of children with lead poisoning. 6 I also occasionally see some other chronic 7 exposures. I've taken care of children with 8 manganese poisoning and, in fact, that 9 actually stimulated my interest in manganese 10 and I currently have a birth cohort in 11 Oklahoma which is meant to study manganese 12 toxicity. And as I said, we're all clinical 13 pharmacologists as well. 14 These are some examples of some of 15 the clinical problems that ACMT members 16 address. We take care of people with 17 unintentional and intentional drug overdoses. 18 We also take care of patients with hazardous 19 exposure to chemical products, either via 20 consults or directly in the hospital. We 21 also take care of patients with drug abuse, 22 also withdrawal from drug abuse. 23 Envenomations, I have to admit since I work 24 in Boston, I've actually never taken care of 25 a snake bite; however, there are members who</p>

<p>1 do, particularly if say you happen to work      2 in Arizona; ingestion of food-borne toxicants      3 and toxins is also something we address.      4 Botulism, marine toxins, such as paralytic      5 shellfish poisoning and ciguatoxin. Toxic      6 plants and mushrooms are actually a very      7 common complaint that we address and we      8 sometimes also do independent medical      9 examinations. Obviously, because I'm a      10 pediatrician that's, that's less of my      11 particular care but those of us who are      12 occupational physicians do do that. And one      13 of the things I added to this list was that      14 we do take care of people with drug/drug      15 interactions and sort of as, as my one, my      16 one scientific comment, one of the things      17 that I didn't see addressed in the NTP      18 vision was the idea that chemical exposures      19 need to be addressed. Certainly      20 pharmacogenomics and toxicogenomics are very      21 important and a lot of the susceptibility to      22 drugs is likely due to genetic      23 susceptibility; however, other than a      24 laboratory animal virtually no one is exposed      25 to a single chemical and I think one of the</p>	<p>Page 126</p> <p>1 settings, some do work for industry. And so      2 we actually have a very broad political      3 spectrum, I guess so to speak, in terms of      4 what our biases may be but we all have to      5 get together and work together and I think      6 that makes us a little more tolerant.      7 So are there mutual interests between      8 NTP and ACMT? I was sent here because we      9 think there are. ACMT members are      10 clinicians who care for people with toxic      11 exposures, both acute and chronic. We      12 believe that no other group will have such      13 access to patients and I think the potential      14 exists for partnerships for exposure      15 monitoring to serve as a source of exposed      16 patients for clinical studies. I think      17 there are potential for collaborations to      18 contribute to databases of clinical effects      19 from toxic exposures. Particularly unusual      20 toxic exposures. I can tell you that if      21 there ever is a outbreak of an unusual toxic      22 exposure an ACMT team member, if he is      23 local, he or she is local, is very likely to      24 be consulted by either the Board of Health      25 or the hospital.</p>
<p>1 things that we need to do if we really want      2 to understand and be able to make      3 predictions is to look at chemical mixtures.      4 Medical toxicologists provide      5 professional services in a variety of      6 settings. We actually have people both in      7 industry and in academics. Most of us work      8 in emergency departments, ICU's and other in-      9 patient units. Some work in out-patient      10 clinics like myself. Most of us are      11 associated with the Poison Control Center and      12 most of us also work at medical schools and      13 universities. Some actually work for      14 regulatory agencies and government agencies      15 such as ATSDR, CDC, FDA and actually Dr.      16 Snyder works for NIH at the National Library      17 of Medicine and he's also a member. And      18 even among physicians our group is very      19 diverse. I put pediatricians first because      20 that's me; however, the, the most, the most      21 common profession is actually emergency      22 physician probably followed by occupational      23 medicine physician and we're probably third.      24 Interns and pathologists are also members of      25 ACMT and as I said, most work in academic</p>	<p>Page 127</p> <p>1 And I think getting to the issue of      2 toxicogenomic epidemiologic studies, this,      3 this interests me because I am an      4 epidemiologist and I think a lot of the      5 issues in toxicogenomics are very different      6 than in pharmacogenomics. Obviously      7 pharmacogenomics is going to be studied in      8 the context of a randomized control trial      9 where you have baseline data and you have      10 the effect afterwards and you could look at      11 the delta. In toxicogenomics first you have      12 to identify someone who's been exposed.      13 There's never gonna be a randomized control      14 trial of a toxicant for ethical reasons, for      15 very good ethical reasons. So they're gonna      16 have to identify them, you're gonna have to      17 measure the phenotype and you're gonna have      18 to have some certainty in those measurements,      19 as well as measuring whether or not      20 someone's exposed. And I think it's gonna      21 be a lot more difficult than pharmacogenomics      22 and I think partnerships with the physicians      23 who actually see these patients is going to      24 at least help in some ways in both in the      25 exposure measurements and in the phenotype</p>

<p>1 measurements.</p> <p>2 ACMT members have a long history of</p> <p>3 serving as consultants to government</p> <p>4 agencies. We actually have a contract with</p> <p>5 ATSDR where we've produced some case studies</p> <p>6 in environmental medicine. Other case</p> <p>7 studies include immunotoxicology, especially</p> <p>8 with respect to Lupus. I actually co-wrote</p> <p>9 the pediatric environmental health ATSDR</p> <p>10 monograph and there's also a monograph</p> <p>11 pending on Iodine 131 exposure. And we've</p> <p>12 also worked with the CDC. We're consultants</p> <p>13 to the National Environmental Exposure Report</p> <p>14 for the National Center for Environmental</p> <p>15 Health and some of us have served on NIH</p> <p>16 panels as well. So an example of</p> <p>17 collaboration with federal agencies, ACMT has</p> <p>18 had a collaborative, or cooperative,</p> <p>19 agreement with ATSDR for several years now.</p> <p>20 As I mentioned, this is where the teaching</p> <p>21 monographs have come about. But we've also</p> <p>22 worked with ATSDR and partnered with them in</p> <p>23 educational symposia at national scientific</p> <p>24 meetings. We've developed an Internet base</p> <p>25 for a teaching resource and we've also done</p>	<p>Page 130</p> <p>1 clinical effects should be and whether or</p> <p>2 not, and also in the management of patients.</p> <p>3 There actually are FDA approved treatments</p> <p>4 for methanol toxicity and we're very familiar</p> <p>5 with the uses of those drugs and their</p> <p>6 potential side effects. And we're also,</p> <p>7 because this was a human reproductive</p> <p>8 effects, there are pediatricians and</p> <p>9 developmental toxicologists in our</p> <p>10 organization, and I think we felt we could</p> <p>11 have contributed quite a bit to such a</p> <p>12 panel.</p> <p>13 In summary, in terms of the, how the</p> <p>14 ACMT and NT..., NTP could network, we are a</p> <p>15 physician organization with very diverse</p> <p>16 expertise in all facets of toxicology.</p> <p>17 We're very dedicated to public health. We</p> <p>18 already have at least the beginnings of an</p> <p>19 infrastructure for collaboration in human</p> <p>20 studies because we are geographically diverse</p> <p>21 and we are the ones that, we are the</p> <p>22 physicians that see the patients who have</p> <p>23 toxic exposures. Also we can be a potential</p> <p>24 source for clinical diagnosis and expertise</p> <p>25 on the management of exposed populations and</p>
<p>1 up a national network of public health</p> <p>2 consultation for incidents of mass chemical</p> <p>3 exposures and chemical terrorism. Also the</p> <p>4 pediatric environmental health unit that I</p> <p>5 work in in Boston is partially funded by</p> <p>6 ATSDR and we're to be a regional center for</p> <p>7 pediatric environmental health referrals.</p> <p>8 This is an example of the National</p> <p>9 Consultation and Education Network. These</p> <p>10 are the individual members of ACMT who are</p> <p>11 responsible for different geographic regions</p> <p>12 in the United States. So this is an example</p> <p>13 that Michael Kosnett, who's the President of</p> <p>14 ACMT, asked me to present. He had looked at</p> <p>15 a recent monograph that NTP had put out on</p> <p>16 methanol exposure and human reproductive</p> <p>17 effects and he had some concerns that there</p> <p>18 was no medical toxicologists on the panel.</p> <p>19 This is not meant as a criticism but sort of</p> <p>20 as to point out that ACMT expertise can</p> <p>21 complement the expertise which was already on</p> <p>22 the panel. ACMT members care for hundreds</p> <p>23 of people annually exposed to methanol as</p> <p>24 well as other toxic alcohols. So we have a</p> <p>25 lot of experience in determining what the</p>	<p>Page 131</p> <p>1 a source of toxicologic, pharmacologic, and</p> <p>2 epidemiologic expertise in human exposures in</p> <p>3 general. This is contact information for</p> <p>4 ACMT and I believe this will be in a handout</p> <p>5 that will be passed out and this is contact</p> <p>6 information from Michael Kosnett who is the</p> <p>7 current President of ACMT.</p> <p>8 DR. CARPENTER: Thank you.</p> <p>9 I'm sure the NTP appreciates your offer of</p> <p>10 assistance. Are there any questions for the</p> <p>11 speaker?</p> <p>12 DR. SNYDER: Just, just a</p> <p>13 comment. First of all, nice presentation</p> <p>14 letting this audience know what medical</p> <p>15 toxicologists do. I serve on a couple of</p> <p>16 committees of that college and I applaud</p> <p>17 your presentation. It was very well done.</p> <p>18 With regard to clinical toxicological data,</p> <p>19 the rubber meets the road of challenge.</p> <p>20 Over the last 15 years the NTP advisory</p> <p>21 groups and participants ought to know about</p> <p>22 is that the American Association of Poison</p> <p>23 Control Centers has been sitting on a</p> <p>24 mountain, a true mountain, of clinical</p> <p>25 toxicological data for many years and</p>

<p>1 unfortunately the individuals who are in      2 charge of that database, that mountain of      3 information, have a challenge on their hands      4 because a great deal of the support for that      5 database comes from the pharmaceutical      6 industry and the pharmaceutical industry has      7 threatened, on numerous occasions, to pull      8 its, pull its support for that database      9 should too much of the data that's in that      10 database be allowed to be accessed by      11 investigators and other groups. That's the      12 challenge, the difficulty at the moment. So      13 I would alert this audience to that      14 particular challenge at the moment for, for      15 liability or for other purposes the pharma      16 has not made it easy for the, the clinical      17 toxicological data that exists in this      18 country to be mineable in the way that it      19 should be. And it is a source of great      20 concern and friction within the clinical      21 toxicology community.</p> <p>22 DR. PHIBS: Actually, that's      23 interesting information for my question. I      24 was wondering if there are untapped sources      25 of the types of human data you work with</p>	<p>Page 134</p> <p>1 indicated about nine or ten sources of      2 information of clinical human data were      3 allegedly available but the problem is is      4 that virtually none of those databases are      5 searchable at the moment and again, very      6 difficult to access the, the clinical human      7 data that's out there.</p> <p>8 DR. CARPENTER: Mary.      9 DR. WOLFE: Mary Wolfe. I      10 appreciate you bringing the awareness of your      11 organization to us. Is, does your website      12 have a, a registry of members with their      13 expertises and so forth identified should the      14 NTP be looking for a certain type of      15 expertise for someone to serve on some of      16 their panels?</p> <p>17 DR. WRIGHT: I think probably      18 the, the best place to start if you were      19 looking for someone would be to contact Dr.      20 Kosnett and... because there is a great deal      21 of diversity in terms of our expertise and      22 we're a small enough organization with only      23 300 members that he knows just about      24 everybody. I think he picked me because I      25 have some funding through NIEHS although I</p>
<p>1 that could guide NTP research identifying      2 flags, chemicals of high priority.</p> <p>3 DR. CARPENTER: Identify      4 yourself.</p> <p>5 DR. WRIGHT: Other than...      6 DR. PHIBS: Pat Phibs, BNA.      7 DR. WRIGHT: Pardon?      8 DR. PHIBS: Pat Phibs with      9 BNA.</p> <p>10 DR. WRIGHT: Other than the      11 AAPCC database, I'm not aware of a national      12 database. Certainly each individual poison      13 control center keeps its own records, but      14 they do submit them to AAPCC and they're a      15 part of the national database.</p> <p>16 DR. SNYDER: I'd like to      17 respond to that to help you out here. At      18 the AAPCC clinical toxicology meetings over      19 the last two years there have been a couple      20 of abstracts where a couple of investigators      21 have gone out into cyberspace and attempted      22 to identify, internationally as well as      23 nationally, various databases of clinical      24 toxicological information including that      25 which is searchable. One of the abstracts</p>	<p>Page 135</p> <p>1 have no funding through NTP. But he knew      2 that. And, and if you had somebody with a      3 specific type of expertise in mind, if they      4 were in the American College of Medical      5 Toxicology he would likely know. Our      6 membership also has a list serve in which      7 interesting cases are presented to the      8 members in general and they get input from      9 other members. So if there is ever a      10 clinical issue that you wanted addressed,      11 even if Dr. Kosnett or others didn't know      12 directly the answer, it would be very easy      13 to disseminate that information to virtually      14 every member.</p> <p>15 DR. SNYDER: Mary, that, that      16 list that he just pointed out does exist. I      17 actually helped participate in creating that      18 list a few years ago and it is updated by      19 ACMT.</p> <p>20 DR. WRIGHT: It's very, it's      21 very common for a member who has a very      22 unusual case to submit that case and elicit      23 opinions from virt..., members all over the      24 world actually.</p> <p>25 DR. CARPENTER: Are there</p>

<p style="text-align: right;">Page 138</p> <p>1 anymore questions for Dr. Wright? Thank you 2 very much. Our next scheduled speaker is 3 Dr. Troy Seidle from the People for the 4 Ethical Treatment of Animals.</p> <p>5 DR. SEIDLE: All right, thank 6 you. Again, my name is Troy Seidle. I'm 7 science advisor with PETA and as most of you 8 will know, PETA is opposed to all animal 9 testing and research which has often put us 10 at loggerheads with federal agencies in the 11 U.S. and around the world which is why we 12 were so delighted to see the NTP's vision 13 document as one of the first examples of 14 hopefully an effort in the U.S. to start 15 moving away from traditional paradigms in 16 toxicology and towards more humane and more 17 scientific methods of evaluating toxicity.</p> <p>18 As previous speakers have pointed 19 out, the, the move towards alternatives is 20 not always the same as moving towards non- 21 animal test methods and clearly non-animal 22 methods is what PETA would like to see the 23 NTP pursue quite clearly under this vision 24 and hopefully the, the resources that will 25 be put forward in completing this vision</p>	<p style="text-align: right;">Page 140</p> <p>1 coordinate all of the research and 2 development efforts. We really don't have 3 that in the U.S. We have disparate federal 4 agencies with very different priorities, very 5 different regulatory agendas, who are all 6 doing their own thing in the R&amp;D side and 7 even though we see far greater federal 8 resources being spent on alternative method 9 development in the U.S. than in Europe, we 10 see much less bang for the buck because 11 these methods are not adequately coordinated 12 and we still have gaping gaps in the various 13 research agendas to develop tier testing 14 strategies that could ultimately reduce and 15 replace the use of animals for specific 16 endpoint studies.</p> <p>17 So the NTP is in a unique position 18 to help to serve this kind of coordinating 19 function. We have seen some effort on the 20 validation review side through NICEATM, 21 through ICCVAM but we really don't see that 22 on the very beginning end whether it be in 23 the basic research side, method development, 24 pre-validation and validation. So hopefully, 25 as Sara had pointed out, this will become</p>
<p style="text-align: right;">Page 139</p> <p>1 will not be insignificant in terms of the 2 development and validation of non-animal, be 3 they in-vitro and silico or other types of 4 toxicity testing methods.</p> <p>5 In particular, PETA does have 6 concerns about the, the move towards 7 transgenics. Although you will often see 8 some reduction and refinement in the use of 9 animals it is not a true placement and in 10 terms of the prioritization of the funding 11 and the allocation of resources we'd like to 12 see transgenics ultimately lopped off the 13 agenda and greater resources, certainly in 14 the in-vitro, the computational as well as 15 some of the omics technologies. We were 16 very pleased to see the, the language in the 17 vision document in terms of the development 18 and validation of new and refined methods as 19 being a priority for the NTP. As Sara 20 Amundson had pointed out, this has really 21 been a gap in the United States, whereas in 22 Europe we have the European Center for the 23 Validation of Alternative Methods, which 24 serves a very valuable coordinating function 25 among all the member countries to really</p>	<p style="text-align: right;">Page 141</p> <p>1 much more prominent in future iterations of 2 the vision document. What we would 3 ultimately like to see with the NTP is the, 4 far greater coordination, not only between 5 agencies in the U.S. but also 6 internationally. This is a global problem, 7 animal testing, in our, in our view, and it 8 also requires a globally coordinated 9 solution. So, ultimately coordination 10 through ECVAM would be extremely helpful to 11 facilitate this process, both to identify 12 methods and technologies that are already in 13 use or under development in Europe as well 14 as gaps, issues that the NTP would like to 15 see targeted. There's a great deal of work 16 on the in-vitro side in Europe but less so 17 on the mechanistic. So to see how some of 18 these gaps can be filled, how efforts can be 19 better coordinated, we'd, we'd like to see 20 that further developed in the future. And 21 ultimately we'd like to see, when the final 22 vision document is produced, some sort of, 23 shall I say, hit list of methods, of 24 endpoints, as targeted as possible to, to 25 really have clear goals that can be</p>

Page 142

1 evaluated, the success of which down the  
 2 road five or ten years from now. And,  
 3 unfortunately coming at this point in the  
 4 Program most of my other comments have  
 5 already been relayed by Rick Becker and  
 6 Sara, so I think I will stop there and again  
 7 we would very much like to contribute  
 8 further down the road as the vision document  
 9 is further refined. But again, thank you  
 10 very much. This is a good opportunity to  
 11 begin a discussion.

12 DR. CARPENTER: Thank you.  
 13 Any questions for Dr. Seidle? George?

14 DR. DASTON: I appreciate  
 15 your comments and the support for omics  
 16 technologies. I think the facts are with  
 17 omics technologies that, in the immediate  
 18 future, we're going to have to rely on  
 19 animal studies to generate enough information  
 20 and enough of a knowledge base to move to  
 21 in-vitro models. Is that supportable in  
 22 your philosophy?

23 DR. SEIDLE: It's, it's a  
 24 very difficult compromise. It's something  
 25 that philosophically we don't support any

Page 144

1 animal-based system that you could not  
 2 otherwise generate, that's probably true.  
 3 Whether these data are truly relevant or  
 4 whether they can potentially lead you, you  
 5 know, astray is also a possibility. So I  
 6 honestly don't know if that was a, a clear  
 7 answer to your question.

8 DR. CARPENTER: Go ahead.  
 9 DR. SNYDER: Jack Snyder from  
 10 NLM. One of the major questions for  
 11 toxicological research today is what is the  
 12 proper balance for investigation of what the  
 13 toxicology community calls biological matrix,  
 14 or biological matrices. That can be  
 15 anything from the membrane of a cell or even  
 16 a membrane inside the cell, to a single  
 17 cell, to a series of cells in the Petri  
 18 dish, to a tissue in a Petri dish, to a  
 19 whole organ or to an intact animal and the  
 20 question that I hear in a lot of forums, not  
 21 only when your organization is represented  
 22 but a host of different organizations in the  
 23 spectrum here, the question is for, for your  
 24 organization now what is the definition of  
 25 animal? In other words, does it include the

Page 143

Page 145

1 animal testing. The question of whether you  
 2 absolutely have, whether you need that kind  
 3 of data scientifically or whether that  
 4 data... Let me rephrase that. You can  
 5 generate a lot of data using animal-based  
 6 methods. The question always remains are  
 7 these data relevant to humans, are these  
 8 data relevant for, you know, extrapolation to  
 9 wildlife if you're looking at an ecotox  
 10 perspective. That's a question that remains  
 11 to be answered. We're really not seeing  
 12 that being addressed in a lot of the  
 13 validation studies that have been done to  
 14 date. It's simply assumed. As Rick had  
 15 pointed out, and I guess a question had been  
 16 raised about the, the standard rodent  
 17 bioassay, is that considered valid? I think  
 18 if you brought that forward to ICCVAM today  
 19 and required a very... if it was held to the  
 20 same rigor that non-animal methods that have  
 21 gone through the ICCVAM process have been  
 22 held, I think it would probably crash and  
 23 burn given some of the reproducibility  
 24 issues, given the questionable relevance. So  
 25 whether you can generate data through an

1 biological matrix that is something less than  
 2 the whole animal and indeed is there any  
 3 room in your organization's approach for any  
 4 type of research in a biologically-based  
 5 system? I hope, I hope the question's  
 6 clear.

7 DR. SEIDLE: I, I think I  
 8 understand what you're asking. We have  
 9 adopted an, an interim position that PETA,  
 10 well, we're, we're less opposed shall we  
 11 say, to experiments, for example, using less-  
 12 developed invertebrates. I mean, typically  
 13 the vertebrates is the, the very clear line.  
 14 We have endorsed, for example, you know,  
 15 simply as a refinement method the LLNA,  
 16 simply because it is a step in the right  
 17 direction. So on the one hand we do have  
 18 very clear ethical standards, on the other  
 19 hand we live in the real world, we're very  
 20 pragmatic and if something is moving in the  
 21 right direction and substantially enough, we,  
 22 we certainly wouldn't take a position  
 23 opposing it. So we, you know, we certainly  
 24 endorse all of the, the in-vitro mutagenicity  
 25 assays which are involving single-celled

<p>1 biological systems. So we wouldn't oppose      2 that. Some of the, the work that's being      3 done with certain aquatic invertebrates      4 looking at some of the developmental and      5 reproductive effects, we don't oppose that      6 so... You know, I, I think there is a fair      7 bit of room for compromise and as long as,      8 you know, the intent is there to ultimately      9 move towards replacement of vertebrates,      10 certainly that's the path that we would like      11 to see the toxicological community following.</p> <p>12 DR. CARPENTER: Thank you.      13 That's helpful to understand where you are      14 in the spectrum. Thank you. Go ahead.</p> <p>15 SPEAKER: I guess I'd like a      16 little discussion of the issue of validation.      17 I've heard quite a bit today. ILSI doesn't      18 like the V-word. The chemical groups very      19 much want validation. And a little bit to      20 my surprise the animal protection advocates      21 are also asking very strongly for validation.      22 And then I've heard quite a bit about the      23 ICCVAM, which I guess I need to learn more      24 about because in nutritional toxicology it      25 hasn't been something that has been in my</p>	<p>Page 146</p> <p>1 So that, that's not negotiable and for that      2 reason we fully support it. We also insist,      3 however, that the same standards be applied      4 to animal-based methods which again you're,      5 you're fighting 40-50 years of history where      6 animal-based methods have never gone through      7 a formal validation process in most cases so      8 there's a lot of political resistance on      9 that level. In terms of how a validation      10 study could be conducted, there have been a      11 number of rodent bioassays that have been, I      12 mean, there've been hundreds, so in terms of      13 validating a non-animal method against that      14 or a tier testing strategy comprised of in      15 silico, in-vitro, what have you, we would      16 recommend simply data mining, taking existing      17 data for chemicals, running those substances      18 through the non-animal systems and doing      19 comparison in that way so that if you have      20 an already standardized set of data from an      21 existing study, you don't need to repeat the      22 study for the purpose of a validation      23 effort. So in that way you ne..., you      24 wouldn't necessarily just use any animals to      25 validate a non-animal system. On the other</p>
<p>1 face, so I need to learn more about that and      2 I probably will. But my question really is,      3 you know, and, and maybe it's different      4 people have a little different definition      5 here but to me validation would mean that      6 we're going to have to develop new      7 techniques that we compare them side-by-side      8 with the, presumably two-year bioassay if      9 that's been the gold standard, and that to      10 me seems like it would use a lot more      11 animals. So I guess that's why I'm a little      12 surprised that the animal protection      13 advocates are very, very strong on      14 validation.</p> <p>15 DR. SEIDLE: Well, I can      16 tell you historically the reason that we are      17 so strongly supportive of validation is      18 because in-vitro methods with few exceptions      19 have been met with skepticism and outright      20 hostility in some cases. So it is important      21 to demonstrate that the quality of the      22 science is there. It's not merely a fly-by-      23 night, it's not, you know, the ethics behind      24 it are clear but the science has to be there      25 as well to inform public health decisions.</p>	<p>Page 147</p> <p>1 hand if you're looking at some of the      2 animal-based tests and screens that are      3 coming on-line, we're seeing in the, the      4 OECD process, for example, for endocrine      5 disruptor tests an enormous body count coming      6 out of that. So it is a double-edged sword      7 and, you know, it's, it, it's always a      8 difficult balance between the science and the      9 ethics, but we've found enough cases with      10 enough animal tests where, you know, for      11 example, if you look at the Duray's      12 (phonetic) eye irritation test you might as      13 well toss a coin. The reproducibility has      14 been so bad historically that a line has to      15 be drawn and if it's a question of requiring      16 validation as the bar where you either pass      17 or you fail and if you fail you don't enter      18 the regulatory community, it's a short-term      19 cost for hopefully a long-term gain both for      20 animals and for the betterment of science.</p> <p>21 DR. BLAIR: If you say that      22 the animal bioassay test, I assume you're      23 talking largely about carcinogenicity 'cause      24 that's the, what the bulk of things been      25 done all those, and other endpoints are not</p>

<p>1 validated then what would you, what do you 2 suggest we use as a valid endpoint for the 3 non-whole animal mechanisms?</p> <p>4 DR. SEIDLE: From my read of 5 the lit...</p> <p>6 DR. BLAIR: Just let me add 7 to it. It wouldn't seem that we would want 8 to validate some mechanistic technique 9 against another approach that hasn't been 10 validated. So what would we use?</p> <p>11 DR. SEIDLE: I completely 12 agree with you that validating one method 13 against something which itself hasn't been 14 validated is an enormous problem and 15 unfortunately it's a problem that the, you 16 know, even ICCVAM hasn't gone far to try and 17 resolve, it simply... you know, I won't go 18 so far as to say it's unresolvable but right 19 now in my opinion, there isn't a valid 20 toxicity test to evaluate carcinogenicity or 21 virtually any other health effect to humans. 22 You're going to get a certain false positive 23 rate, you're going to get a certain false 24 negative rate, and as long as you're outside 25 the, the human animal, which of course you</p>	<p>Page 150</p> <p>1 So there are plans in the works but right 2 now I don't think there is a, there is an 3 answer to your question.</p> <p>4 DR. SASS: Jennifer Sass...</p> <p>5 DR. CARPENTER: Go ahead.</p> <p>6 DR. SASS: ...with the 7 Natural Resources Defense Council. Troy, 8 thank you for the talk. That was 9 interesting. One of the speakers in the 10 audience brought up, I, I guess to follow-up 11 on the question that was just asked, the, 12 the poison control center data accidental 13 exposures, things like that... Actually, has 14 PETA ever tried to, to release up that kind 15 of data specifically? From the poison 16 control centers? That's new information to 17 me. I didn't realize that.</p> <p>18 DR. SEIDLE: It's something 19 we haven't tried to tackle directly, just... 20 given PETA's activist agenda, it's, it's 21 something that we have, we're trying to 22 pursue through international bodies such as 23 the OECD where we can potentially get 30- 24 member country support and if we can get 25 that level of buy-in it would be a much more</p>
<p>1 can test chemicals for ethical reasons, as 2 long as you, the further you move away from 3 that, you're always going to get some margin 4 of error so the question... and the fact 5 that it hasn't been assessed in a formal way 6 I, I firmly believe that there isn't a valid 7 or you know, a scientifically validated 8 method either for use presently or against 9 which you can compare an alternative testing 10 strategy. So I don't have a short and, you 11 know, quick answer for you. I think some of 12 the, the points that were raised regarding 13 human toxicity data from occupational sources 14 hold tremendous promise. There's actually an 15 OECD workshop that's been proposed on the 16 generation or the mining of human data for 17 validation purposes for exactly that reason 18 because, even though you will have some...you 19 know, there, there will also be some 20 scientific questions about the use of 21 occupational data for validation purposes 22 since dose questions will always be an 23 issue. But can we get better, can we do 24 better than just a traditional animal study 25 as the, the gold standard for validation?</p>	<p>Page 151</p> <p>1 effective tool than if it's being advanced 2 by, by a single non-profit advocacy 3 organization. So that's... we've been aware 4 of it for some time but it's not something 5 that we've pursued directly.</p> <p>6 DR. SASS: So you're trying 7 to get an international push to release that 8 accidental exposure, poison control center- 9 type of data?</p> <p>10 DR. SEIDLE: Both... 11 certainly having it released would be useful 12 from some perspectives. Our focus has been 13 squarely on its use for validation purposes. 14 So we, we haven't looked at it from a 15 completely holistic standpoint just because 16 that's not our, our mandate exclusively.</p> <p>17 DR. CARPENTER: Seeing no 18 further hands, thank you very much. Nice 19 presentation. Our final speaker on the 20 current list, and we've had nobody else ask 21 to speak, so is Jennifer Sass from the 22 Natural Resources Defense Council.</p> <p>23 DR. SASS: Are these 24 microphones on already? Okay, I'm Jennifer 25 Sass. I'm with the Natural Resources</p>

<p>1 Defense Council. It's an environmental non-      2 profit organization. I'm based here in      3 Washington, D.C. I'm a scientist in the      4 Health and Environment Program. We have      5 comments I've handed out on paper. I assume      6 that you have them. I think some extra      7 copies were made for audience members; if      8 not, I've also just last night when I      9 completed them, sent them electronically to      10 the NTP Program so they will be available on      11 the website, I hope.</p> <p>12 Three points only, so I'll be short.      13 The first is support for a leading role for      14 the NTP as a public health institute in the      15 development of a strategy to integrate in-      16 vitro toxicity data into regulatory policy.      17 While we are well aware that policy makers      18 will someday utilize these data for      19 regulatory decisions, how this is to be done      20 is still a point of discussion. Thus, we      21 support a strong role for the NTP in the      22 development of methodologies on the use of      23 omics data for human risk assessment.      24 Without this methodology, gene expression      25 data cannot be effectively used to predict</p>	<p>Page 154</p> <p>1 subcellular toxicity in order to refine our      2 understanding of chemicals and toxic agents      3 on health and disease. Mechanistic-based      4 endpoints will be most useful if data can be      5 developed in both humans, that is      6 epidemiology and animal models, in order to      7 make valid comparisons, obviously. We      8 suggest that any objective include the      9 development of biologically-based dose-      10 response models that can be used for trans-      11 species extrapolations of toxic or      12 carcinogenic effects and that can address      13 inter-individual differences in susceptibility      14 as well as the effects of the exposure to      15 mixtures. A good deal of these points have      16 already been brought up today.      17 To achieve any of the above      18 objectives, extensive quantitative data on      19 time and dose dependent relationships will be      20 needed. Studies on time dependence should      21 cover the time interval between exposure and      22 elimination of the agent under study, at      23 least over a 24-hour cycle, longer for bio-      24 accumulating agents or for agents in which      25 continuous treatment affects their metabolic</p>
<p>1 toxicity or low-dose cancer risk. Further,      2 we strongly support the need to include      3 proteomics and metabolomics, in conjunction      4 with the toxicogenomics efforts now underway      5 in its overall strategy.      6 The second point. We support the      7 validation and appropriate integration of in-      8 vitro toxicity data. We support the NTP      9 efforts to lead the way on the validation      10 and appropriate integration of data from      11 omics and in-vitro toxicity testing methods.      12 However, we also encourage the NTP to      13 develop clear objectives, as well as a      14 comprehensive strategy to achieve that      15 objective. For example, does the NTP      16 envision the use of these data as screening      17 strategies or as surrogates for existing in-      18 vitro, in-vivo endpoints? If a potential      19 goal is to develop an alternative approach      20 to the rodent bioassay, we strongly object.      21 We are years, if not decades, from fully      22 understanding the cellular and subcellular      23 mechanisms of carcinogenicity. We therefore      24 suggest that an appropriate goal at this      25 time be to further characterize cellular and</p>	<p>Page 155</p> <p>1 elimination, and at multiple life stages in      2 order to capture effects of age-related      3 changes. Transcriptional data without      4 information on time-dependent protein levels      5 will be of limited value. Measurements of      6 gene expression in conjunction with NTP      7 sacrifice times, and that's from days      8 extending through two years, may be useful      9 in linking altered gene expression with      10 clinical pathology or histopathological      11 effects in some, in the same animals.      12 The strengths of the NTP studies are      13 the consistent genetic background of animals      14 on study and the consistency in diet. So it      15 may be useful to apply mechanistic methods      16 to better characterize the effects of animal      17 variability, for example, the use of      18 transgenics or knockout mice, and of      19 different dietary formulations as well.      20 Collecting and interpreting this information      21 may not initially lead to savings in cost or      22 time or use of animals, although I do agree      23 with most of the speakers that have      24 commented in the long-run, I think that it      25 definitely will.</p>

<p>1     The validation and appropriate  2 integration of microarray and omics  3 technology will require a clear strategy to  4 contribute to the design or interpretation of  5 NTP studies and enhance the overall goals of  6 the NTP. As the NTP develops their  7 mechanistic endpoints they should consider  8 incorporating these into low dose testing  9 regimes as well and observe for appropriately  10 sensitive endpoints.</p> <p>11    And my third and final point. We  12 support the NTP bioassay program as a  13 critical and integral part of identifying and  14 characterizing toxic agents. It is alarming  15 to realize that with approximately 80,000  16 chemicals commercially available worldwide  17 and 2,000 new ones introduced annually, less  18 than 2 percent of these have been adequately  19 tested for carcinogenicity. More than 2,800  20 chemicals are manufactured in the U.S. in  21 quantities exceeding one million pounds  22 annually. Of these, the EPA finds that a  23 full set of basic toxicity information is  24 available for only approximately 7 percent,  25 while for approximately 43 percent no basic</p>	<p>Page 158</p> <p>1     statistical power and comprehensive behavior  2 and histopatholo..., pathology. A baseline  3 data set on measurements of gene expression  4 over 24-hour intervals in different strains  5 of rodents and at several ages from  6 perinatal through senescence, would be  7 valuable information to further the study  8 designs. We encourage the NTP bioassay to  9 more routinely capture the full age groups,  10 including fetal stages, puberty and old age  11 and to continue for at least two full years  12 to allow latent tument, tumor formation to  13 become evident. We encourage the NTP to  14 expand this trusted methodology to handle an  15 increased number of chemicals annually.  16 Thank you.</p> <p>17    DR. CARPENTER: Thank you.  18 Any comments or questions for Dr. Sass?</p> <p>19    DR. BLAIR: Jennifer, since  20 the number of bioassays, no matter how much  21 money we put in are finite in some way...</p> <p>22    DR. SASS: Right.</p> <p>23    DR. BLAIR: ... would you  24 support the greater use of mechanistic data  25 to select the chemicals that go in? I</p>
<p>1     toxicity information at all, neither human  2 nor environmental is publicly available.  3 Without the adequate laboratory testing, the  4 default method for identifying human hazards  5 is unfortunately epidemiology. This is  6 neither rapid nor protective. Epidemiology  7 studies are typically limited by insufficient  8 follow-up time, uncertain exposure estimates,  9 limited statistical power, confounding  10 factors, and limited ability to do  11 histopathology. The National Toxicology  12 Program is widely considered to be the most  13 trusted chemical testing program in the  14 world, largely because of its tremendous work  15 in establishing the bioassay as an effective  16 method for identifying and characterizing  17 carcinogens. The NTP bioassay is an  18 accepted method because the vast majority of  19 human carcinogens have also been shown to be  20 carcinogenic to animals and many chemicals  21 first identified as carcinogenic in animals  22 were subsequently confirmed to be human  23 carcinogens as well. Well-designed animal  24 studies provide detailed dose-exposure  25 information, repeatability, sufficient</p>	<p>Page 159</p> <p>1     mean, they use that now, of course, but some  2 of it is overlain also by how many people  3 are exposed, and you... one way to focus a  4 little bit is not pay attention to that and  5 focus just on the mechanistic data. What  6 are your thoughts?</p> <p>7    DR. SASS: I think that a  8 tiered approach towards utilizing the  9 bioassay is probably a way to go and so,  10 yeah... if you can select intelligently and  11 set up study designs that will be more  12 focused, and, and complement them with  13 mechanistic or other in-vitro data where  14 available using it appropriately and from  15 validated studies, I think that's excellent.</p> <p>16    DR. CARPENTER: Go ahead.</p> <p>17    DR. SASS: My motto as a  18 scientist is never to say no to data.</p> <p>19    DR. CARPENTER: Go ahead.</p> <p>20 Go ahead.</p> <p>21    DR. AMUNDSON: Jennifer, Sara  22 Amundson with the Doris Day Animal League.  23 I really appreciate your comments, and the  24 truth is there are a number of, I thought,  25 invaluable points that you made that I</p>

<p>1 certainly agree with, while there are others  2 that I do in fact disagree with. That said,  3 your question of Troy was legitimate and I'd  4 like to turn that on its ear a little bit.  5 That is, first and foremost our information  6 directly from EPA on the HPP Program as it  7 currently exists demonstrates that there's  8 about 6 percent of all data being generated  9 through new testing. Gosh, folks, that  10 means there's a tremendous amount of data  11 that is currently out there, that's being  12 brought forward. That said, we've had  13 minuscule success in particular with the  14 poison control centers in mining some of  15 that data for some of the purposes we've had  16 that are well outside of the tox testing  17 realm. Just for things like how many  18 exposures to ethylene glycol have you seen  19 in children under six. Those simple bits of  20 information have been available in very small  21 increments. But this is testimony to the  22 fact that whether it's poison control centers  23 or it is human eye irritation data, you name  24 it, all of this information that is out  25 there that's been collated is certainly not</p>	<p>Page 162</p> <p>1 I, I'm completely aware about how valuable  2 this data is and it appalls me that it's out  3 there and that, it's some minuscule amount  4 that's actually being reported to collection  5 centers and not being utilized.</p> <p>6 DR. CARPENTER: Go ahead.</p> <p>7 DR. WIND: Marilyn Wind from  8 Consumer Product Safety Commission. I am  9 perplexed at the constant repetition that the  10 AAPCC data is not available. There are  11 clearly real problems with that data because  12 a lot of the data that's collected doesn't  13 name products and if products are named, you  14 may not know what the products contain, so  15 from that point of view, that's a problem.  16 Another problem with the data is that some  17 industry, some industries actually use poison  18 control centers for collecting, for  19 responding to questions on their products and  20 that data is not publicly available but we  21 use the poison control center data which is  22 not a statistical database unfortunately for  23 looking at where poisonings are occurring so  24 that we can decide what needs to be in  25 poison prevention packaging, and the data</p>
<p>1 available to the folks that need to utilize  2 it for validation purposes, or simply for  3 informational purposes. What is NRDC doing  4 to address that need?</p> <p>5 DR. SASS: I feel a  6 collaboration coming on. Actually, in my  7 written statement you'll notice that I  8 actually said that, that there is limited  9 amount of basic toxicity information publicly  10 available and I am completely aware of this  11 and if I had my way I would slap those  12 people around a bit. I think it's  13 incredibly valuable information and in fact I  14 have a small commentary that's being  15 published in Environmental Health  16 Perspectives the month after next that  17 actually compares the no-effect level that  18 was set for a pesticide, two pesticides, I  19 actually look at one in particular, with  20 actual food poisoning event data where, where  21 sensitive populations, some elderly, some  22 not, were actually having to be treated in  23 the hospital emergency care at levels far  24 below what had been deemed the no-effect  25 level from a Union Carbide animal study. So</p>	<p>Page 163</p> <p>1 that is available is good from that point of  2 view 'cause it tells us where there are  3 exposures and stuff. But I'm a little  4 perplexed at what it is that is not  5 available that's needed because while they  6 don't give away their data and you have to,  7 you have to buy it, it has been available  8 and we've been using it.</p> <p>9 DR. SASS: That's not a  10 question for me, right? I don't run those  11 things. I can't answer that question.</p> <p>12 DR. CARPENTER: It really  13 wasn't a question. I just.</p> <p>14 DR. SASS: Okay.</p> <p>15 DR. CARPENTER: Whether you  16 had a response or not, I was waiting... Any  17 more questions or comments? Thank you very  18 much, Dr. Sass. I appreciate it. Are  19 there... Are there any more public  20 comments? Go ahead.</p> <p>21 DR. AMUNDSON: My apologies.  22 I just have a quick comment and that's,  23 overall in approaching this issue I think  24 what is missing here is strong representation  25 from pharmaceutical companies. Oftentimes I</p>

<p>1 hear in these various fora when it comes to      2 concerns about validation or mining data      3 resources that fingers get pointed at the      4 pharmaceutical sector and I think that ILSI,      5 for example, could be exceedingly helpful in      6 bringing those folks into the fold. We've      7 got excellent representation from the      8 industrial chemical sector but oftentimes      9 these folks get left out and I'd prefer to      10 have them early on in the discussion.</p> <p>11 DR. CARPENTER: Good point.      12 Any other comments? Well, I'd like to thank      13 you all for coming and taking time and, and      14 thank the speakers for putting together very      15 nice presentations. I'd like to thank the      16 panel for their efforts and ask Chris      17 Portier if he'd like to make some final      18 comments.</p> <p>19 DR. PORTIER: Thanks, Dr.      20 Carpenter. I really...I would like to make      21 a couple of comments. I think it's been an      22 interesting morning. This afternoon the      23 subcommittee of the board will be meeting in      24 closed session to discuss some of the things      25 they've heard this morning and start working</p>	<p>Page 166</p> <p>1 point with the SMART approach at the      2 beginning is something that helps and aids      3 in that. And measurement for these goals:      4 dates, targets, what are we reducing, if      5 anything, what are we refining, are we going      6 to replace animals, are we not going to      7 replace animals, are we gonna replace one      8 test, not another. A lot of issues that      9 need to be looked at in terms of goals and      10 how we measure these. And we even got      11 suggestions of not only what goals we should      12 be looking at but what goals we should not      13 be looking at and so we'll consider all of      14 those as well. And finally, the whole      15 discussion about a number of different issues      16 but it all boiled down to alternative      17 databases and consider how we might explore      18 these in unique ways in terms of looking at      19 this vision is I think something we have to      20 take very seriously and con..., consider as      21 we move forward. I want to thank all the      22 commenters for their insights and their      23 discussions. I want to thank Dr. Yang for      24 coming all the way from Korea to look at how      25 the NTP conducts, conducts a public meeting</p>
<p>1 out their strategy and they will also meet      2 with some representatives from the      3 interagency group as well to talk about      4 linkages across their two strategies. So      5 there will be some discussion this afternoon.      6 We heard a lot of interesting things and I      7 just thought I'd reiterate a few of the      8 things I've, I've caught in terms of what we      9 need to look at. We started off the public      10 comments with consider partnerships which is      11 absolutely an important part of this.      12 Academic partners, stakeholder partners,      13 partners in the federal community, I think      14 all will play an important role in this and      15 certainly we're gonna try our best to use      16 the broadest expertise possible from all the      17 stakeholder groups. But again, if all of      18 our committees could think about how that      19 would play into this, it would be very      20 interesting. Consider validation in advance      21 I think is a lesson we've all learned over      22 the years and that we need to be very      23 specific on the goals; not only the goals of      24 this process but the goals of each and every      25 piece of the process. I think Michelle's</p>	<p>Page 167</p> <p>1 and participate in that public meeting by      2 giving us some of the future directions that      3 the Korean NTP is going. They're very      4 interested in bringing the concept of a      5 public meeting into toxicology in Asia and I      6 commend him for that effort and I again      7 thank him very much for being here today. I      8 want to thank Dr. Carpenter and the Board      9 for their efforts and being here today and      10 addressing some of the issues and listening      11 to them, the N, my NTP staff: Dr. Wolfe,      12 who set up this meeting and made it work for      13 all of us, and Sara, I'm sure, if I know      14 Mary, the next time we do a public meeting      15 announcement, it will include the animal      16 rights community; Dr. Bucher and Dr. Hooth      17 for chairing the two subgroups that NIEHS      18 and NTP have; and our NTP partners for being      19 here today as well. Again, thank you all      20 very much. Dr. Carpenter, it's back to you.</p> <p>21 DR. CARPENTER: And because      22 they gave this to me I have to use it.</p> <p>23 Adjourned.</p> <p>24 (WHEREUPON, the Meeting was adjourned at      25 12:37 p.m.)</p>

Page 170

1           CAPTION  
23       The Meeting in the matter, on the  
4       date, and at the time and place set out on  
5       the title page hereof.6  
7       It was requested that the Meeting be  
8       taken by the reporter and that the same be  
9       reduced to typewritten form.10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24

1                   **CERTIFICATE OF REPORTER**2  
3                   **STATE OF VIRGINIA AT LARGE:**4  
5                   I, **FRANK J. SPACEK, III**, Notary  
6                   Public for the State of Virginia at  
7                   Large, do hereby certify that the  
8                   foregoing constitutes a true and  
9                   accurate transcript to the best of my  
10                  ability.11  
12                  I further certify that I am not an  
13                  employee of nor related to any of the  
14                  parties, and I have no financial  
15                  interest in the outcome of this matter.16  
17                  Frank Spacek

18                  Notary Public

19  
20                  My Commission Expires:

21                  May 31, 2005

22  
23  
24  
25

800.262.8777

Historic Jordan Springs / 1160 Jordan Springs Road / Stephenson, VA 22656

540.667.6562 FAX

[www.CountyCourtReporters.com](http://www.CountyCourtReporters.com)